

1 first speaker is Mark Ellis, Industrial Minerals
2 Association-North America. And there's going to be
3 several on your panel?

4 MR. ELLIS: Yes.

5 MS. McCONNELL: Okay.

6 (Pause.)

7 MR. ELLIS: I had to look at my watch, but
8 good afternoon.

9 MS. McCONNELL: Yes.

10 MR. ELLIS: I'm Mark Ellis. I'm President
11 of the IMA-NA, the Industrial Minerals Association-
12 North America. IMA-NA is a nonprofit 501(c)(6) trade
13 association representing North American producers and
14 processors of industrial minerals and associate
15 members that support the industrial minerals industry.

16 Industrial minerals are feedstocks for the
17 manufacturing and agricultural sectors. They are the
18 ingredients for many of the products used in everyday
19 life, such as glass, ceramics, paper, plastics, paints
20 and coatings, cosmetics, pharmaceuticals, and laundry
21 detergent. Our companies and the people they employ
22 are proud of their industry and the socially
23 responsible methods they use to deliver these
24 beneficial resources. IMA-NA represents producers and
25 processors of ball clay, barite, bentonite, borates,

Heritage Reporting Corporation
(202) 628-4888

1 calcium carbonate, diatomite, feldspar, industrial
2 sand, Kaolin, soda ash, talc, and wollastonite.

3 Safety and health are of paramount concern
4 to IMA member companies, which is why we have come
5 before you today to address MSHA's Request For
6 Information on Exposure of Underground Miners to
7 Diesel Exhaust. With me today are Mr. Richard
8 Pasquier, General Counsel of Tronox Alkali, and Dr.
9 Roger McClellan, an advisor on toxicology in human
10 health risk analysis. Mr. Pasquier serves as the
11 Chairman of IMA's Diesel Emissions Task Force, and Dr.
12 McClellan serves as an advisor to the task force.

13 IMA appreciates the opportunity to put these
14 comments before MSHA for consideration. Written
15 copies of our oral presentations are available to the
16 reporter preparing verbatim transcripts and to the
17 MSHA panel for the rulemaking record.

18 So, without further ado, please allow me to
19 turn the microphone over to Mr. Pasquier and Dr.
20 McClellan, and we'll be prepared to answer questions
21 at the conclusion of our testimony.

22 MS. McCONNELL: Okay.

23 MR. PASQUIER: Good afternoon. I'm Richard
24 Pasquier. I'm General Counsel at Tronox Alkali, a
25 unit of Tronox Limited, a global leader in mining,

1 production, and marketing of inorganic materials and
2 chemicals. I'm very glad to be here this morning.

3 MS. McCONNELL: I just want to make sure,
4 can the court reporter hear Mr. Pasquier?

5 He may not be on. There's a button right
6 on -- right there, right where your finger is. There
7 we go.

8 MR. PASQUIER: Can you hear me now?

9 (Chorus of yeses.)

10 MR. ELLIS: Do you want me to go back and do
11 mine?

12 MS. McCONNELL: Oh, did you hear Mr. --

13 THE COURT REPORTER: Not well.

14 MS. McCONNELL: Not well. Do you want to go
15 ahead -- do you want him to redo his intro?

16 MR. ELLIS: You can do it from my written
17 transcript.

18 MS. McCONNELL: Okay. Is that okay?

19 THE COURT REPORTER: Yeah, I hear him now.

20 MS. McCONNELL: Okay. Go ahead.

21 THE COURT REPORTER: I can just tell the
22 transcriber.

23 MS. McCONNELL: All right. Okay. Go ahead.

24 MR. PASQUIER: Okay. Good morning. I'm
25 Richard Pasquier, General Counsel at Tronox Alkali, a

1 unit of Tronox Limited, a global leader in the mining,
2 production, and marketing of inorganic materials and
3 chemicals. Tronox Alkali operates alkali chemical
4 business that Tronox Limited acquired from FMC
5 Corporation last year, April 1, 2015. Very happy to
6 be here this morning.

7 Tronox Alkali is the world's largest
8 producer of natural soda ash, with mining and
9 processing facilities located in Green River, Wyoming.

10 We employ approximately 950 employees in Green River
11 and nearly 60 employees in Philadelphia. We mine more
12 than 4 million tons of trona annually for use in
13 essential everyday products like commercial and
14 residential glass, computer screens, pharmaceuticals,
15 baking, and personal care products.

16 Tronox Alkali is a member of the Industrial
17 Minerals Association-North America, IMA-NA, a trade
18 association whose membership includes other trona
19 producers and nonmetal mining and processing
20 companies, as Mark Ellis, President of IMA-NA, has
21 already described to you.

22 Approximately one year ago IMA-NA formed a
23 Diesel Emissions Task Force in which Tronox Alkali is
24 an active participant and for which I serve as chair.

25 I offer this statement today in my capacity as chair

1 of the task force. The task force was formed to
2 enable us to learn as much as possible about the
3 health effects of diesel exhaust in order to protect
4 our employees. The task force also promotes and is
5 interested in the exchange, testing, and verification
6 of scientific information concerning the use of diesel
7 equipment in mining operations.

8 The task force is made up of operators of
9 underground mines producing trona, calcium carbonate,
10 industrial sand, and wollastonite. All of the members
11 of the task force use at least some diesel equipment
12 in their mining operations, and we all share a
13 commitment to health and safety of our employees.

14 Some of those members include the study
15 mines that participated in the Diesel Exhaust and
16 Miner Study, known as DEMS, conducted by the National
17 Institute for Occupational Safety and Health, NIOSH,
18 and the National Cancer Institute, NCI. Tronox
19 Alkali's Green River operation was one of those study
20 mines when it was owned by FMC. We agreed to
21 participate in that study to advance scientific
22 understanding of the potential effects of diesel
23 exhaust and because we believed our participation
24 would ultimately benefit our workforce.

25 Thousands of hours were spent assembling and

1 organizing background information on the mining
2 operations, use of diesel equipment from 1956 through
3 1998, and the records of 2,400-plus employees.

4 The task force and its members had a keen
5 interest in the publications that have resulted from
6 the DEMS project, including papers published by both
7 the original NIOSH/NCI investigators and subsequent
8 analyses by independent analysts, including by a
9 consultant to Tronox Alkali and the task force, Dr.
10 Roger McClellan, who's sitting next to me.

11 The literature on health hazards of exposure
12 to diesel exhaust is voluminous. It's constantly
13 changing and challenging to interpret. To assist us
14 in this task, the IMA-NA Diesel Emissions Task Force
15 has engaged Dr. McClellan as an advisor. As I said,
16 he's here with us today and he's going to offer his
17 initial thoughts on the MSHA Request For Information
18 on Exposure of Underground Miners to Diesel Exhaust
19 published in the *Federal Register* on June 8, 2016.

20 The Diesel Emissions Task Force will work
21 with IMA-NA and Dr. McClellan to respond to the RFI
22 and appreciates the opportunity to do so. We support
23 MSHA's desire to evaluate the effectiveness of MSHA's
24 current diesel regulations to ensure that they are
25 protective of employees' health, a value that is the

1 core to our own operations. But it is critical that
2 MSHA's inquiry be thoroughly grounded in science,
3 meaning that due consideration be given to all of the
4 currently available scientific work, not only the
5 original DEMS papers but also the re-analysis work
6 that has been done with the DEMS data. MSHA also must
7 take into account workplace practices and operators'
8 experiences in complying with current regulations.

9 IMA-NA asked Dr. McClellan to participate in
10 today's meeting and to review the comment on Section B
11 of the RFI entitled "Recent Research". As he will
12 explain, the RFI's summary of DEMS is incomplete.
13 This is a critical conclusion since this summary is
14 the scientific basis for the issuance of the RFI and
15 any subsequent analyses and actions that may lead to a
16 change in existing regulations.

17 It's important that the panel realize that
18 DEMS is a historical backwards-looking study and that
19 there are substantial uncertainties in its use of
20 estimated exposures, a proxy, an estimate of using
21 respirable elemental carbon (REC) for diesel
22 particulate matter. Dr. McClellan will describe
23 alternative estimates of REC as it's in an acronym,
24 the use of which he and other independent researchers
25 looking at DEMS data have discovered result in

1 substantially different characterization of lung
2 cancer risk for the DEMS miners.

3 Moreover, he will emphasize as well that all
4 the analyses, both the original NIOSH/NCI
5 investigators and independent analyses, relate to
6 older diesel engine exhaust emissions and exposures
7 that occurred in 1982 and earlier because of the 15-
8 year lag time between exposure and any significant
9 response. Any serious look at DEMS must take into
10 account the fact that over the past three decades
11 improvements in diesel engine technology and fuels,
12 most notably major reductions in sulfur content, have
13 resulted in substantially reduced particulate
14 emissions.

15 The IMA-NA Diesel Emissions Task Force has
16 initiated an analysis of specific questions posed by
17 the RFI. It is readily apparent that these questions
18 are not only extraordinarily technical but also may be
19 best addressed by working with the manufacturers of
20 diesel engines and suppliers of mining equipment.

21 As I mentioned, there have been substantial
22 improvements in diesel engine technology and exhaust
23 after treatment systems over the past quarter century
24 which largely were driven by the EPA's diesel engine
25 standards. Engine equipment manufacturers would be

1 much more familiar with those changes than mine
2 operators.

3 As a result, Tronox Alkali and IMA-NA
4 propose that MSHA and NIOSH work with the mining
5 industry, both metal and nonmetal and coal, diesel
6 engine manufacturers, diesel mining equipment
7 manufacturers, and representatives of organized labor
8 to form a diesel exhaust health effects partnership to
9 address these complex issues and reach consensus on
10 the path forward.

11 At this meeting, the task force is
12 submitting a letter to NIOSH and MSHA formally
13 requesting the formation of that partnership. In
14 order to allow this partnership to begin work, we are
15 also requesting, I believe that's the request that you
16 mentioned in your opening comments, a request to
17 extend the RFI response period by 90 days. That
18 extended amount of time is critical in any event to
19 allow the regulated industry to respond to a detailed
20 question -- to the detailed questions that MSHA has
21 posed.

22 Before I conclude my remarks I note that we
23 were pleased to see MSHA acknowledge the substantial
24 progress made in reducing average miner exposures in
25 metal and nonmetal operations from 2006 through 2015.

1 It would be useful if MSHA were to share this matrix
2 of exposure data with the partnership we are
3 requesting to be formed. Indeed, we earnestly hope
4 this partnership will address diesel technology
5 improvements and best practices for monitoring miner
6 exposures, as well as achieving further reductions in
7 exposures to diesel engine exhaust if further
8 reductions are deemed necessary.

9 In summary, Tronox Alkali and the other
10 members of IMA-NA Diesel Emissions Task Force are
11 committed to providing a safe and healthful work
12 environment for all of our employees. This requires a
13 management approach that addresses a wide range of
14 factors, including exposure to diesel engine exhaust.

15 Like MSHA, we were pleased with the
16 continuous reductions in diesel exposure in nonmetal
17 mines since the 1980s. We look forward to working
18 collaboratively with MSHA, NIOSH, and other
19 stakeholders in a partnership to better understand the
20 basis of those reductions and identify best practices
21 for future use -- for the future to ensure worker
22 exposures are held to levels that are protective of
23 miners' health.

24 MS. McCONNELL: Would you like to --

25 MR. PASQUIER: Dr. McClellan.

1 DR. McCLELLAN: Thank you for allowing me
2 the opportunity to speak today. I'm Roger McClellan,
3 an advisor on toxicology, human health risk analysis
4 matters, with emphasis on issues concerning airborne
5 materials and their potential health effects in
6 workers in the general population. I've had a special
7 interest in and conducted research on the health
8 hazards of diesel exhaust emissions since the 1970s.

9 Let me note as an aside, as I began
10 preparing my remarks for this meeting, I recalled with
11 pleasure serving on the Department of Labor MSHA
12 Advisory Committee in the late 1980s, so we're back
13 again and it's a pleasure to be with you.

14 I offer this statement on behalf of the
15 Diesel Emissions Task Force of the IMA-NA. I advise
16 that task force on scientific developments regarding
17 the potential health effects of exposures of workers
18 to diesel exhaust. I have also offered advice on
19 these matters to Tronox Alkali, visited their mining
20 operations, looked closely at the activities going on
21 there.

22 Tronox and the other nonmetal mines in the
23 task force all use diesel exhaust to some and I would
24 say variable degree and I have found to be very
25 interested in learning the latest developments in our

1 understanding of the potential health effects of
2 diesel exhaust to ensure the safety and health of
3 their employees.

4 The task force and I have read with interest
5 MSHA's Request For Information on Exposure of
6 Underground Miners to Diesel Exhaust that was
7 published June 8, 2016. It's my understanding that
8 MSHA issued the Request For Information, holding this
9 and other public meetings to gather information to
10 enable the Agency to review its existing standards and
11 policy guidance on controlling miners' exposure to
12 diesel exhaust to evaluate the effectiveness of the
13 provisions now in place to preserve miners' health.
14 We appreciate this opportunity to submit information
15 and statements to assist MSHA in gathering the
16 relevant facts and evidence.

17 I am here to urge that MSHA ground its
18 inquiry in science and to consider all of the
19 currently available science on the potential health
20 effects of exposure to diesel exhaust. It's
21 critically important in this initial phase of MSHA's
22 review that the currently available scientific
23 information on health hazards and risks of exposure to
24 diesel exhaust, including all of the uncertainties, be
25 accurately and completely depicted. In short, it's

1 very important that MSHA get the science right.

2 This is the case because that science will
3 ultimately be used to inform, and I want to emphasize
4 inform, policy decisions on exposure levels and
5 durations for standards that demonstrate on the basis
6 of the best available evidence that no miner will
7 suffer material impairment of health or functional
8 capacity even if such miner has regular exposure to
9 the hazards involved.

10 Let me emphasize the importance at this
11 stage of all parties to the proceedings recognizing
12 that the science informs a particular policy outcome.

13 Science alone and scientists insufficient to set the
14 standard because science alone cannot establish that
15 bright line between levels and duration of exposure
16 with or without impairment of health. It is science
17 informing the policy decisions that allow those risk
18 management decisions to be made.

19 MSHA's review of its diesel regulations was
20 inspired by certain developments in the ever-evolving
21 scientific inquiry into diesel exhaust exposure and
22 whether such exposures could lead to lung cancer and
23 other health outcomes. MSHA summarized some but I
24 want to emphasize not all of that research in Section
25 1(b) of the RFI entitled "Recent Research".

1 As I'll explain later, it is clear that MSHA
2 is focused on the Diesel Exhaust in Miners Study or,
3 as we'll call it from here on, DEMS, to the exclusion
4 of other work that has been done with the DEMS data.
5 The DEMS study was conducted by the National Cancer
6 Institute and National Institute for Occupational
7 Safety and Health and published initially, you know,
8 in 2012.

9 Beginning in 1997, NIOSH and NCI
10 investigators reviewed the historical -- skip that, I
11 want to make certain I don't skip a page here. Okay,
12 beginning in '97, NIOSH and NCI investigators reviewed
13 the historical data for eight nonmetal mines that were
14 volunteered by their management to be part of the
15 study. The DEMS analyses therefore are based on
16 estimates, and I want to emphasize estimates, of
17 exposure for 1997 and earlier, with the most
18 influential exposures occurring in 1982 and earlier
19 because, as the analyses have revealed, a use of a
20 15-year lag period yields the most significant results
21 regarding the health hazards of death from lung
22 cancer. Those were the results that were published in
23 2012 by the NIOSH/NCI investigators.

24 To fully understand and interpret the DEMS
25 data, it's important to go beyond those two papers.

1 MSHA must also critically evaluate the five papers
2 describing the original estimates of respirable
3 elemental carbon exposure for the DEMS workers
4 developed by the original NIOSH/NCI investigators, and
5 I've given the references there.

6 And as I'll discuss today, independent
7 researchers working with the DEMS data have identified
8 important limitations of DEMS that must be considered
9 in any future assessment. I'm one of those
10 researchers. I and my colleagues have published
11 several papers with the results of our work in the
12 peer-reviewed journal, *Risk Analysis*, and we were able
13 to do that because we had excellent cooperation
14 particularly from John Howard at NIOSH and the NCI
15 personnel, National Center for Health Statistics, so
16 that we could use the DEMS data, and since they're
17 viewed as confidential data that usage was under some
18 very carefully defined and very stringent conditions.

19 So the DEMS results and the results of a
20 second epidemiological study of diesel exhaust
21 exposure in U.S. truck workers were used by the
22 International Agency for Research on Cancer in 2012,
23 as Ms. McConnell has indicated, to review the hazard
24 classification of diesel exhaust. I attended that
25 meeting as an observer.

1 They used the results and concluded there
2 was sufficient epidemiological evidence that diesel
3 exhaust was carcinogenic and to change the
4 categorization of diesel exhaust from probably
5 carcinogenic, as was determined in 1988, a panel that
6 I served on for IARC. And in this case, with
7 sufficient epidemiological evidence, the hazard
8 classification was upgraded to carcinogenic to humans.

9 After that, EPA and the industry sponsors of
10 the Health Effects Institute, a nonprofit entity which
11 I've also been associated in the past, asked the HEI
12 to assemble an epidemiology panel to evaluate DEMS and
13 the trucker study to determine whether these studies
14 could be utilized in future quantitative risk
15 assessment. And at this juncture, I think it's very
16 important that we draw a distinction in terms of
17 hazard and risk, and in my opinion, the RFI that you
18 put forth was premised in part on that hazard
19 categorization by IARC.

20 I think it's important to recognize that its
21 upgrading of the hazard characterization did not
22 necessarily premise that there was an increased risk
23 associated with diesel exhaust. That was a hazard
24 characterization, and they said the data is now more
25 certain in 2012 than it was in 1988 and that there was

1 sufficient epidemiological evidence they could move it
2 into what I'll call Category 1, as I'll describe
3 later.

4 So, to understand why that quantitative risk
5 assessment is significant, I'll go into a little more
6 detail about that difference between hazard and risk.

7 The term "hazard" is used to characterize the
8 likelihood that an agent or workplace circumstance --
9 this is a situation here really of blending the two,
10 diesel exhaust exposure -- may under some exposure
11 circumstances cause cancer.

12 The carcinogenic hazards are typically
13 described in qualitative terms like those used by IARC
14 in its monogram program, and it has five different
15 categories. The highest, Group 1 is carcinogenic to
16 humans; 2A: probably carcinogenic to humans. That was
17 a categorization if you recall in 1988 based on
18 insufficient epidemiological evidence and at that time
19 sufficient animal evidence. 2-B: possibly
20 carcinogenic to humans; 3: not classifiable as to
21 carcinogenicity to humans; and 4: probably not
22 carcinogenic to humans. Let me say that's a tough
23 hurdle in that last one. There's only one agent
24 listed in that group.

25 So these kinds of hazard identifications, as

1 I said, they're qualitative in nature. IAR does not
2 make quantitative estimates of the potency of the
3 agents for causing cancer.

4 Turning to risk, risk on the other hand is a
5 quantitative concept defined as the probability that
6 the consequence, in this case occurrence of cancer,
7 will occur as a result of a specific exposure,
8 duration, concentration, particular time in life to an
9 agent or workplace circumstance identified as being
10 capable of causing cancer, i.e., it has a carcinogenic
11 hazard. The calculation of the probability of
12 occurrence of a particular disease, such as cancer,
13 occurring as a result of the specific exposure
14 requires knowledge of both exposure and the potency of
15 that hazardous agent for causing cancer at a
16 particular exposure level and duration.

17 Now various agencies, including the U.S.
18 Environmental Protection Agency and NIOSH, have
19 developed quantitative estimates of cancer-causing
20 potential for only a few agents. Whereas perhaps
21 we've had over 1,000 agents evaluated as to their
22 carcinogenic hazard, many, many fewer of those have
23 been evaluated in terms of the quantitative cancer-
24 causing potential of potency and then aligning that
25 with the estimates of exposure.

1 Now neither EPA nor NIOSH have formally
2 developed quantitative estimates of the cancer-causing
3 potential of diesel exhaust exposure. It's my
4 understanding that NIOSH is in the preliminary stages
5 of doing so. I note, however, that the development of
6 a quantitative estimate of cancer-causing potency for
7 an agent is not necessarily required for regulatory
8 action to limit exposure, i.e., your previous actions.

9 They were not based on a detailed quantitative risk
10 assessment. And EPA's extensive regulations of diesel
11 engines are not based on quantitative estimates of
12 cancer risk.

13 In fact, I served on a panel, and finally in
14 2002, we issued a health assessment for diesel
15 exhaust, and that assessment indicated that the
16 evidence at that time was not sufficient to bring
17 together to develop a quantitative estimate of risk.

18 Now we've recently had the HEI epidemiology
19 panel review, as I said, the most recent evidence, and
20 they concluded that these studies were well designed,
21 carefully conducted, embodying the attributes of
22 epidemiological studies that are considered important
23 for risk assessment, and that is stated in the RFI.
24 However, there's more to the HEI panel's conclusion
25 than the RFI acknowledges. It's incomplete in this

1 area.

2 The HEI panel concluded that the DEMS and
3 the trucker study provided a useful basis for
4 quantitative risk assessment of exposure to older
5 diesel engine exhaust. A very important conclusion.
6 The DEMS investigators, and we have reaffirmed, my
7 colleagues and I, that the most influential exposures
8 resulted in increasing cancer risk were those 1982 and
9 earlier. The investigators did not measure exposure
10 in newer diesel engine emissions, thus did not take
11 into account the dramatic changes in technology in
12 diesel engines, diesel fuels, and emissions.

13 The HEI panel also acknowledged that both
14 studies had significant uncertainties and cautioned
15 that those uncertainties must be factored into any
16 attempt to derive an exposure/response relationship
17 for diesel exhaust particulate matter in a
18 quantitative risk assessment. The RFI in my opinion
19 does not acknowledge these important qualifications,
20 but MSHA's work in the future must certainly do so.

21 I want to make clear that I extend my
22 compliments to the investigators who conducted DEMS
23 and the senior officials of the two agencies at NIOSH
24 and NCI for sponsoring that work and to the operators
25 and employees of the eight mines that participated in

1 DEMS. The database available from DEMS is really
2 truly remarkable and still being analyzed and I submit
3 will continue to be analyzed and interpreted for some
4 time.

5 In my opinion, what has occurred with the
6 DEMS data and the multiple analyses will ultimately be
7 recognized as a landmark set of epidemiological
8 analyses. It's extraordinarily rare that a large and
9 complex data set, such as DEMS, is shared. Now that
10 may seem strange, particularly to laypeople, but
11 science operates sometimes in silos and sharing of
12 data is, quite frankly, not that common.

13 In this case, we have data that's being used
14 by multiple investigators beyond the original team.
15 This is laudatory. It's possible -- this is possible
16 because DEMS data is public property. It's the U.S.
17 Government's. So we had access to the data.

18 Moreover, the independent scientific
19 analysts, including myself, were able to obtain
20 funding from a coalition of sources led by the Engine
21 Manufacturers Association that were willing to provide
22 financial support to conduct the analyses without
23 controlling the analytic process or having the right
24 to prior review of the publication prior to
25 publication. This is a great example of the way

1 science should work, especially when the science is
2 going to be used for regulatory purposes like here.

3 And so, as the analysts learned, myself
4 included, there are substantial uncertainties in the
5 estimates of respirable elemental carbon exposure in
6 the DEMS data set. That was the measure used as a
7 surrogate measure for diesel exhaust, and those
8 uncertainties carry over into the original -- the
9 association between diesel exhaust exposure and lung
10 cancer made by the original NIOSH/NCI investigators,
11 and they carry over into the analyses that we did on
12 it, although it's very important, as I'll note, that
13 we extended our analyses beyond the original estimates
14 of REC, alternative estimates of REC, and we also took
15 into account a very important point, that the miners
16 in some cases were also exposed to radon, a well-known
17 lung carcinogen.

18 In the DEMS project, respirable elemental --
19 REC was used as a metric for diesel exhaust exposure.

20 However, it's important to note there were no, and I
21 want to emphasize, no direct measurements of REC pre-
22 1997, during the time period of the DEMS study. So no
23 actual measurement of those. So we went to make back
24 extrapolations. So, in the absence of measured REC
25 concentrations, all of the REC exposures used in the

1 analysis by the original investigators and by myself
2 and the colleagues I worked with are estimates, and
3 that's a very, very important point.

4 And, again, I've called attention to the
5 various papers that were developed there by the
6 original investigators and the work of my colleagues
7 and I. Those are all very important that MSHA have
8 those. We'll make certain that they're entered into
9 the record.

10 But going forward, it's important that MSHA
11 recognize the serious limitations in the analyses of
12 the DEMS data. First of all, MSHA must give
13 consideration to the papers reporting the results of
14 the analyses conducted by the independent analysts,
15 the Moolgavkar, et al. paper, the Crump, et al., Crump
16 and Van Landingham and McClellan. These papers are
17 not cited in the RFI, and we'll make certain you have
18 them.

19 Using the DEMS data, it's important to
20 recognize that we first replicated the analyses of the
21 original investigators. That allowed us to verify
22 we're using the same DEMS data set. Most importantly
23 then in another step, the analysts extended the
24 analyses using alternative models, alternative
25 exposure estimates, and controlling for radon.

1 So, at the request of the IMA-NA, I prepared
2 a critique of the Health Effects Institute's special
3 report and, in doing so, also a critique of DEMS, and
4 we're making that available to you. So, in doing
5 that, I drew on my four decades of experience
6 following the literature, conducting research on the
7 health hazards of diesel exhaust.

8 I also, as I noted, participated in the IARC
9 panel in 1988, attended the review in terms of 2012,
10 and my personal participation in conducting these
11 extended analyses.

12 Let me just digress a bit to describe DEMS,
13 and in doing so I want to emphasize the underpinnings
14 of any epidemiological study, the exposure assessment
15 for that population, the population that's under
16 consideration, and the vital statistic, vital data,
17 and the analytic methods. It's a three-legged stool,
18 and if one of those legs is broken, I'm sorry, the
19 other two legs can't make up for it. It influences
20 the overall uncertainty in the study.

21 So, if we look up on the screen behind Ms.
22 McConnell, we see eight panels here, and these are
23 alternative respirable elemental carbon metrics, and
24 there are two of them there. The red line is the one
25 developed by the original investigators, and this went

1 through a rather complex and elaborate process by
2 which they collect the data at the end of each one of
3 those time lines in 1998, 1999, and then using the
4 mine data back extrapolated.

5 A key part of that was the assumption that
6 carbon monoxide was a useful or appropriate metric, a
7 surrogate metric for respirable elemental carbon.
8 That is a key assumption that was made and one that's
9 been substantially debated.

10 The other line is the blue line, and that is
11 an alternative estimate of REC that was developed by
12 Crump, et al. I was a part of that team. In this
13 case, we focused just on the horsepower of the diesel
14 equipment and the ventilation because, as you know,
15 you're always concerned with the source, that's the
16 horsepower of the diesel equipment, and the
17 ventilation, CFM.

18 So we start in the -- I won't go through all
19 these individual panels, but you'll note they extend
20 back with the first dieselization, I think, may have
21 been in Mine A up in the upper left-hand corner.
22 That's a limestone mine, and I think that was
23 dieselized back in 1946-'47, and we carry forward.

24 So each one of the other panels we have one
25 of the DEMS mines represented. You'll note the most

1 substantial deviation between the estimates that we
2 developed using horsepower and CFM, the blue line, or
3 in the case of Mine A, the limestone mine, and going
4 down on the right-hand side the salt mine, both of
5 those used substantial horsepower, i.e., heavy-duty
6 haul equipment. In the case of the Mine A, that's the
7 primary way ore is moved from the face to the surface.

8 The salt mine, likewise, has considerable hauling.

9 I believe, to the best of my knowledge, most
10 of the other mines are making use of electrical-
11 powered conveyors in terms of moving ore and thus
12 substantially less horsepower, and in some cases mines
13 are heavily ventilated because they're gassy mines,
14 such as the trona mines, and so what is shown becomes
15 a combination of the emission source, the equipment,
16 and the ventilation condition.

17 MS. McCONNELL: So why does the deviation
18 diminish and evaporate by the time it gets to year
19 2000?

20 DR. McCLELLAN: By what?

21 MS. McCONNELL: Why does the deviation --
22 why does the deviation between the lines kind of
23 evaporate by the time you get to -- the trend seems to
24 bring them together. What's going on there?

25 DR. McCLELLAN: Well, that's a

1 combination -- let me go to the next slide if I could.

2 This shows the eight mines are listed here and their
3 characteristics, and as you'll note, we've got four
4 different kinds of ore that are being mined:
5 limestone, salt, pot ash, and trona. We've got four
6 different states involved. And then you see the
7 ventilation there in the fourth column over, natural
8 versus mechanical, and ventilation is changing over
9 time in essentially every one of those mines. There's
10 a difference in the year of first diesel usage, and
11 then we have this complex situation in terms of the
12 primary mode of operation, in terms of conventional
13 and haulage in the case of the limestone mine, and you
14 see various other combinations down.

15 Now let me say where we see all years these
16 are data on carbon monoxide, CO, and radon. My
17 understanding, that the principal source of these data
18 actually is MSHA databases, and one of the things I'll
19 call attention to is the variable degree to which
20 we -- numbers of samples available, and then the
21 number of these, the percentage that are over the
22 limit of detection both for carbon monoxide and for
23 radon.

24 And then coming more directly to your
25 question, Ms. McConnell, 1982 activity, and I focused

1 on 1982 because that was the year that we think the
2 exposures are most influential in this analysis, that
3 year and earlier. And so you see the CFM in terms of
4 ventilation, quite variable across the mines, and then
5 we see the usage of diesel equipment in the right-hand
6 side from that very substantial usage in Mine A down
7 to the more limited usage in terms of the trona mines.

8 So it's those coming together, and in the
9 data set, diesel equipment usage and time utilized is
10 tracked in the system, so we have that for every year.

11 So, when we look at this, some of this is changes in
12 terms of equipment and most importantly the
13 substantial changes that occurred in terms of reduced
14 emissions from diesel engines, and in the critique I'm
15 providing you'll see a key graph in there in which
16 there is a substantial reduction in diesel exhaust
17 particulate emissions from engines associated with use
18 of improved technology.

19 MS. McCONNELL: Okay. So 1982 seems to be
20 your critical juncture point.

21 DR. McCLELLAN: Yep.

22 MS. McCONNELL: And in that I'm just trying
23 to make sure I understand.

24 DR. McCLELLAN: Yeah, yeah. No, please.

25 MS. McCONNELL: Okay. So 1982 is the

1 critical junction point, and at that point you're
2 saying you have observed improved ventilation or
3 increased ventilation as well as --

4 DR. McCLELLAN: No, let me -- let's go back
5 to the other if we could. I don't want to confuse the
6 causes and effect here.

7 MS. McCONNELL: Okay.

8 DR. McCLELLAN: These are the respirable
9 elemental carbon estimates, in red developed by the
10 original investigators, in blue those developed by
11 myself, Crump and others.

12 MS. McCONNELL: Mm-hmm.

13 DR. McCLELLAN: And ours is a very simple
14 and straightforward approach utilizing horsepower and
15 CFM. The same database that the original
16 investigators used except they used a very complicated
17 procedure in which they said we think carbon monoxide
18 can be used as a metric.

19 MS. McCONNELL: Okay.

20 DR. McCLELLAN: We don't think that's
21 appropriate.

22 MS. McCONNELL: Okay.

23 DR. McCLELLAN: We also think it's
24 appropriate that in the analysis you take account of
25 changes in the emissions from the technology.

1 Now, as it turns out, when you do the
2 analytic analysis in the epidemiologic study, you
3 determine that the most significant exposures are for
4 1982 and earlier.

5 MS. McCONNELL: Mm-hmm.

6 DR. McCLELLAN: But that is determined from
7 the analysis, not dictated by any shape of these or
8 change, whatever.

9 So the point is that we have a data set,
10 very substantial, but it's most relevant to telling us
11 what happened 1982 and earlier.

12 MS. McCONNELL: Mm-hmm.

13 DR. McCLELLAN: So we need to be cautious in
14 terms of how we move forward in terms of our
15 extrapolation of that.

16 MR. PASQUIER: Roger, why don't you clarify
17 again 1982 was the --

18 DR. McCLELLAN: Fifteen years earlier than
19 1997, with a 15-year lag period in your analysis.

20 MS. McCONNELL: That's right. I got you.

21 DR. McCLELLAN: It's well known that, you
22 know, you go out in the bright sunlight today, you're
23 not going to have a melanoma tomorrow, but you may
24 have one 15, 20, 30 years from now. That's what we
25 call a lag period in the analysis. So we take care of

1 that analytically.

2 Let me say then that I've described
3 particularly the exposure as one of the legs of that
4 epidemiologic study. The population, we've already
5 talked about coming from these eight mines. They were
6 followed up 'til December 31, 1997, and so we have
7 deaths that occurred up 'til then in lung cancers.
8 And as I'm going to relate, that's about 200 lung
9 cancers that are available for analysis. And then the
10 third leg of the stool is our analytic procedures.

11 Let me now return to my prepared text on
12 nine, the first bullet point. The DEMS data set has
13 been analyzed by multiple analysts with widely varying
14 results. These results emphasize serious
15 uncertainties in the underlying data that should be
16 acknowledged when the results are used to inform
17 public policy decisions.

18 Two, as I've already related, it's important
19 to recognize there are substantial differences among
20 the eight mines studied in DEMS that we've already
21 gone over. These are meaningful differences related
22 to mode of operation from conventional mining with
23 truck haulage to continuous long haul mining
24 operations with conveyor belt movement of ore,
25 ventilation varying from natural ventilation with

1 limited air movement to very substantial ventilation,
2 16,030 cubic feet per minute in the one mine, and wide
3 differences in diesel equipment usage, from 638
4 horsepower to 6,892. Now I've shown these as adjusted
5 horsepower. That's because each piece of equipment
6 was evaluated and working in conjunction with the mine
7 operator to determine was it being used all the time,
8 was it being used 20 percent of the time or never.

9 MS. McCONNELL: Mm-hmm.

10 DR. McCLELLAN: So these are adjusted so
11 that we could take account of differences in terms of
12 the different mines.

13 And then, as I note, moreover, the mines are
14 located in four different states -- Ohio, Missouri,
15 Wyoming, New Mexico -- very different cultural,
16 economic, and work environments. You always try to
17 take account of that in your analyses, but it is very,
18 very difficult. So it's important to recognize these
19 differences are such that it's challenging to control
20 for all the potential variables in the epidemiologic
21 analyses and treat all the workers as being drawn from
22 a single population.

23 Three, the worker population in the eight
24 facilities were engaged in very different work
25 activities and hence differences in exposure to diesel

1 exhaust. Approximately one-third of the workers
2 always worked on the surface, 4,008 workers, and I
3 show less than 81 lung cancers. That's because it
4 conformed to the rules that had been laid down for
5 using this data. Sometimes we don't use the precise
6 number to avoid issues around confidentiality.

7 Another one-third always worked underground,
8 4,080 workers and 82 lung cancers, and the other one-
9 third spent some time on the surface, some time
10 underground, and for an unexplained reason yet, this
11 4,227 workers had less than 44 lung cancers, and you
12 immediately look at those and say, well, gee, that's
13 only about half the others, but they were about the
14 same population.

15 Now I emphasize these are crude incidents,
16 and in our statistical analyses, we take account of
17 the age of the population, and it may be that this
18 consists primarily of younger workers who worked in
19 both. We don't know that because of some of the
20 restrictions placed on the analyses.

21 Four, the epidemiological HEI panel
22 individual and collectively, as they analyzed the
23 reports, I want to emphasize they had limited
24 professional knowledge of underground mining
25 operations and use of diesel equipment in the

1 operations.

2 One member of the panel is still well-
3 recognized internationally as an expert on diesel
4 emissions. However, he had never been in an
5 underground mine. However, the other panel members
6 had limited professional knowledge of diesel
7 technology, nor had they ever visited an underground
8 mine.

9 I'm here to tell you that my own personal
10 experience, until you've actually been in different
11 mining operations, you're clueless about how they
12 actually use diesel equipment. I think that was a
13 serious deficiency. I'm disappointed. The HEI panel
14 failed to accept our invitation to visit at least one
15 of the mines.

16 So, as I've noted, the most serious
17 uncertainty in the DEMS data set, and I'll say in most
18 epidemiological analyses, it's exposure environments.

19 So, in this case, it's the fact we have no actual
20 measurements of respirable elemental carbon. So
21 they're all estimates. And the estimates of the
22 original team, I think they're heroic, they used this
23 extrapolation CO, and as we've said, there are
24 striking differences with what we developed using
25 horsepower and ventilation.

1 The differences in REC estimates are most
2 substantial for the limestone, salt facilities, which
3 use substantial diesel horsepower in terms of their
4 haulage activities and had less ventilation compared
5 to the other mines. So the limestone and salt mines
6 also had the highest portion of radon measurements
7 above the limits of detection. Not surprising when
8 you look at the ventilation data.

9 Six, it's important to recognize differences
10 in the several metrics used for diesel exhaust
11 exposure. As we noted, DEMS used REC. This is very
12 important, very important now. This is different than
13 the diesel particulate matter metric used by MSHA for
14 the permissible exposure level. As you know --

15 MS. McCONNELL: Yeah.

16 DR. McCLELLAN: -- that metric is based on
17 total carbon, which includes both elemental carbon and
18 organic carbon. And I'll simply note as an aside that
19 over this time period of this study there were
20 substantial changes in diesel technology, fuels, that
21 at the earlier times I'm quite confident they had
22 much, much more organic carbon associated with them.
23 As the technology improved, you tended to get rid of
24 that organic carbon first, and then as I'll relate
25 later, newest technology moves towards reducing the

1 elemental.

2 A major strength, seven, a major strength of
3 the DEMS data set is the availability of smoking
4 history data for the case control study, and that's
5 data we have on 198 lung cancer cases and 562 incident
6 density sampled control subjects.

7 Now it would have been great if we had
8 smoking history on the total population. That simply
9 wasn't economically feasible. But as we all know,
10 smoking is a major, major risk factor. So our
11 challenge in any of these studies is trying to tease
12 out whether over and above that lung cancer hazard
13 from cigarette smoking in the population we have some
14 added risk or hazard that can be teased out for REC
15 and/or radon.

16 In eight, both the original NIOSH/NCI
17 investigators and the independent analysts observed
18 the smoking status, never, former, current, and
19 smoking intensity, former smoker, over two packs a day
20 to a never smoker, and I've shown the data there. The
21 odds ratio, 5.4; for the current smoker of over two
22 packs a day the odds ratio more than doubled, 12.41.
23 Exposure levels make a difference.

24 The original investigators found that among
25 never smokers, ever underground workers, and surface

1 workers had a similar odds ratio, suggesting the lung
2 cancer risk by surface workers only was mainly due to
3 the smoking. So we have low diesel exposures of
4 surface workers, but smoking is driving that lung
5 cancer risk.

6 MR. PASQUIER: In a trona mine, you can't
7 allow people to smoke underground, so that underground
8 population from a very early stage would not be
9 smoking.

10 MS. McCONNELL: Mm-hmm. Okay.

11 DR. McCLELLAN: So that becomes a key
12 difference, also the cultural differences in smoking
13 as you look across these four different parts of the
14 country.

15 Nine, the original investigators found the
16 lung cancer risk was substantially higher for surface
17 workers than those who ever worked underground for
18 both current and former smokers. For current smokers
19 of one to less than two packs a day compared to never
20 smokers, the surface only workers had an odds ratio of
21 13.34; two, 39.53 compared with an odds ratio for the
22 similar exposure groups of 4.51 and 13.58 under, ever
23 underground.

24 So this unusual and unexpected finding was
25 not adequately explained and suggests, again, a high

1 degree of caution should be exercised in using a group
2 that combines individuals who spent some time on the
3 surface, some time working underground, and then
4 always underground workers. That's precisely the
5 analysis that the NCI/NIOSH investigators did.

6 They took the two groups, the roughly 4,000
7 that always worked underground, and combined with that
8 individuals who spent time on the surface and
9 underground. It gave you greater statistical
10 certainty, but it creates great uncertainty as to how
11 well you're controlling for the cigarette smoking.

12 Ten, the analyses of the original
13 investigators, the analyses of the independent
14 analysts, both identify strong differences in lung
15 cancer hazard associated with REC exposure among the
16 different mine populations. The greatest lung cancer
17 risk was in the limestone workers, with lower lung
18 cancer risk associated REC exposure in the pot ash and
19 trona workers. Indeed, the odds ratio for the pot ash
20 workers was statistically significant only at the
21 highest quartile of cumulative REC.

22 Moreover, for the trona workers, the odds
23 ratio for neither average REC intensity nor for
24 cumulative REC were statistically significant.

25 MS. McCONNELL: So what's the difference?

1 Why is there a difference depending on the commodity?

2 MR. ELLIS: Ventilation could be a major
3 factor because both pot ash and trona mines are gassy
4 mines and the limestone isn't.

5 MS. McCONNELL: And some use electrical
6 powered versus diesel? No, they all use --

7 MR. ELLIS: No, they would be the same.
8 It's just the quantitative amount, then it's
9 ventilation that's used.

10 MS. McCONNELL: Okay.

11 DR. McCLELLAN: That drives it. We see that
12 again up here --

13 MS. McCONNELL: Yeah, that's true.

14 DR. McCLELLAN: -- in terms of the
15 differences among those mines. But clearly, and I
16 want to emphasize this is the find -- these are the
17 findings of Silverman, et al., the original NIOSH/NCI.
18 These are -- we did a slightly different analysis,
19 and I would -- I do think these are correct. I think
20 Dr. Silverman and colleagues did get it right there.

21 Eleven, the HEI report building on the work
22 of independent analysts developing alternative
23 exposure estimates in a detailed Appendix F encouraged
24 the development of an alternative REC exposure
25 estimate based on mine diesel equipment horsepower and

1 ventilation, just what we've done here. So building
2 on that, we went back and we actually did then the
3 epidemiologic analyses using these data.

4 Twelve, using the horsepower/CFM-based REC
5 exposure estimates, none of the trend lines for the
6 odds ratio were statistically significant. Moreover,
7 these trend lines were smaller by roughly factors of
8 five without control for radon and factors of 12 with
9 control for radon. Radon, as you know, is a well
10 recognized carcinogenic hazard. And the 95 percent
11 confidence intervals for these trend slopes had only
12 minimal overlap with those for the slopes in the
13 original analysis.

14 Could we move to the -- these are a somewhat
15 complicated set here, but we'll walk our way through
16 them. These are reported in the Crump, Van Landigham,
17 McClellan paper. And, again, these are using the DEMS
18 data and in the red they're using the REC exposures of
19 the DEMS original investigators. In the blue are
20 shown our analyses using the HP/CFM REC.

21 MS. McCONNELL: Okay.

22 DR. McCLELLAN: So Silverman at the top, you
23 can see the quartiles of average REC intensity. We
24 see the number of cases. We see the number of
25 controls, and we see a very clear evidence there, the

1 odds ratio, the lowest quartiles referred group, and
2 then we see 0.74, 1.54, 2.83. So it's pretty clear
3 there's a exposure/response relationship there. We
4 see the P value for the trend, 0.001, and we see the
5 slope, 0.00073 cancer risk per microgram per cubic
6 meter exposure. We see the confidence intervals.

7 And then the next set down we've got
8 basically another variant of that. But most
9 importantly I want to drop down to the blue. Now we
10 have what we think is an equally good or superior REC
11 estimate, simply straightforward, horsepower/
12 ventilation, and you see again the cases, the
13 controls, and it looks like in that third block down
14 we've got a marginally statistically significant
15 effect there, odds ratio of 2.37, and it's got a P
16 value of 0.06, and that goes through -- now, when we
17 do with the radon controls, and you see that the odds
18 ratios are lower and it's no longer statistically
19 significant clearly at 0.63. So we see the impact of
20 using what we think are the improved REC estimates and
21 control for radon.

22 Let's go to the next visual if we can, Mark.

23 So now, as I said, Silverman, et al., in
24 that field, they group together all subjects whoever
25 worked underground. We have to realize now about half

1 of this population always worked underground. The
2 other half had surface and underground. And so we see
3 with Silverman's analysis there above a clear exposure
4 response. We use the REC estimates from Silverman and
5 without radon control. We see the values.

6 Now most significantly, we drop down to the
7 blue and we see, again, it looks like at the third
8 panel down there's a exposure/response relationship,
9 but it's no longer statistically significant, 0.16,
10 and then, when we bring in radon controls, it's gone.

11 Next slide.

12 Then the novel part of our analyses and one
13 that I say is open to criticism, we said the people we
14 have most confidence in knowing their exposure must be
15 that group of individuals who only worked underground.

16 So this is an analysis that you'll find only in our
17 paper and clearly here there is no signal. There
18 simply is no signal, and whether you -- without radon
19 control, and with radon control it drops even further.

20 MR. FINDLAY: What are the dates?

21 DR. McCLELLAN: What?

22 MR. FINDLAY: The dates, what years does
23 this correspond to?

24 DR. McCLELLAN: This is -- all of this is
25 the same cohort.

1 MS. McCONNELL: Same cohort.

2 DR. McCLELLAN: They started from the time
3 of -- well, they were assembled in 1998, but the
4 population that was assembled, and that meant
5 winnowing down the probably 16,000 workers to 12,000
6 workers because some workers didn't have complete
7 records, whatever. All of that was done by the
8 original investigators, and it was determined that
9 vital data would be followed through December 31,
10 1997. So what we have in this panel here are showing
11 those workers, out of the 198 lung cancer cases, the
12 subjects who only worked underground and incident
13 match controlled.

14 MR. FINDLAY: So you're kind of correlating
15 this with our current standard? Is that what
16 you're --

17 DR. McCLELLAN: Well, I've got it. Let me
18 kind of jump out of this.

19 The current, the current standards were put
20 in place, what, 2006.

21 MR. FINDLAY: Mm-hmm.

22 DR. McCLELLAN: They were based on the
23 epidemiologic evidence that existed through about
24 2000.

25 MR. FINDLAY: Right.

1 DR. McCLELLAN: Okay. And it was decided in
2 the case of the metal and nonmetal mines that you
3 would use a PEL to limit exposure. In the case of the
4 coal mines, you put your limit on the diesel
5 equipment, as we'll discuss later for good reason. So
6 that was based on the scientific database then.

7 The assumption behind your current rule is
8 that perhaps the risk has gone up. I'm here to tell
9 you that we really don't have any evidence at this
10 point in time. A quantitative risk analysis, if one
11 wanted to do it, might show you that retrospectively
12 the risk was this based on data available through
13 2000, and it's this level based on inclusion of the
14 DEMS data or exclusively the DEMS data.

15 It is quite possible that it could actually
16 show a lower risk. That's why I say the fact that you
17 have a more certain characterization of hazard does
18 not automatically translate somehow there's a greater
19 risk to be controlled. That's why it's important to
20 get this right at the beginning of the rulemaking
21 process. Make certain the science is in place so that
22 you can make that determination as to whether that
23 current standards are appropriate or not.

24 MR. FINDLAY: Would you say this data is
25 more applicable to like a retrospective?

1 DR. McCLELLAN: Oh, absolutely, that's
2 right. I have told my clients that if you're involved
3 in litigation with regard to workers who were exposed
4 in 1997 and earlier, more particularly, 1982 and
5 earlier, this is the data set that's applicable, but
6 changes in diesel technology or such, you can't say
7 that this has direct applicability today.

8 MR. FINDLAY: Correct.

9 DR. McCLELLAN: I'm going to come back to
10 that later.

11 Are there any other questions on this
12 particular table? I've included it here in my
13 testimony, but it's also in my critique.

14 MS. McCONNELL: I don't have one right now.

15 DR. McCLELLAN: Okay. Thank you.

16 So let me just state, thirteen, in my
17 opinion, the results of the original analyses of the
18 DEMS data and those of the independent analysts in
19 aggregate are probably adequate for evaluating the
20 carcinogenic hazard of exposure to traditional diesel
21 exhaust characteristic of diesel engines, high sulfur
22 fuel content used in 1988 and earlier. That was your
23 very good question. And as the HEI panel recognized,
24 DEMS does not investigate, nor is it relevant to
25 exposures to the newer diesel engines or fuels.

1 In my opinion, the uncertainties in the
2 results from the analysis of the DEMS data are so
3 substantial that extraordinary caution should be
4 exercised in moving beyond their use in hazard
5 characterization, this qualitative assessment that's
6 been done, to using any single analytic result based
7 on the DEMS population for quantitative risk
8 assessment.

9 Indeed, our quantitative understanding of
10 the lung cancer risks of diesel exhaust exposure may
11 be no better today than existed when MSHA made policy
12 judgments, published a final rule on May 18, 2006,
13 phasing in a final diesel particulate permissible
14 exposure limit of 160 micrograms of total carbon per
15 cubic meter.

16 Fifteen, it is my understanding that NIOSH
17 has already initiated preparation of a diesel exhaust
18 risk assessment (DERA) which will be available to MSHA
19 and OSHA for use in regulatory decision-making. I'm
20 eager to share my critique with the NIOSH scientists
21 developing that DER so they can be fully informed
22 about the serious limitations in the original analyses
23 conducted by the NIOSH/NCI investigators and the need
24 to consider the later results published by independent
25 analysts.

1 Recognizing that any risk assessment
2 developed by NIOSH will have potential use for MSHA in
3 regulatory rulemaking, it's very, very important that
4 MSHA encourage NIOSH to make public the agency's risk
5 assessment protocol and related activities for public
6 review and comment at the earliest possible date.

7 MS. McCONNELL: Thank you very much, and
8 you've given us a lot to digest.

9 DR. McCLELLAN: Yeah.

10 MS. McCONNELL: And I have a very simplistic
11 question right now.

12 DR. McCLELLAN: Okay.

13 MS. McCONNELL: And that is, and I take your
14 point that the DEMS study and the HEI panel's
15 recognition that the DEMS study did not investigate
16 for newer diesel machines and newer diesel engines or
17 fuel, but I guess my question is, in terms of our
18 existing mines, underground mines, what is their
19 inventory? Have they been replacing these older
20 engines with newer engines? Are they using more
21 effective after-treatment technologies? I mean, are
22 the conditions as they were when the estimates were
23 made?

24 MR. ELLIS: I sort of roll back to what Mr.
25 Chajet said at an earlier hearing. MSHA probably is

1 best positioned to have that information available to
2 it. I know that in the situation of underground coal
3 mines you've got diesel inventories for all the
4 equipment that's out there. I think this is the kind
5 of information that we feel would benefit this
6 collaboration that we're suggesting be formed under
7 this NIOSH partnership.

8 There's a lot of information out there, and
9 nobody has really tried to pull it all together to see
10 how it affects the analysis and the conclusions you're
11 going to try to reach in this process.

12 So I think that one of the things that we
13 are going to ask for as part of this partnership is
14 that information be made available, you know, freely
15 so that it can be digested by other people, debated,
16 see where there's area of consensus, find out where
17 there's other research opportunities to fill the gaps
18 that are out there.

19 MS. McCONNELL: Okay.

20 DR. McCLELLAN: I think your question --
21 also just let me go beyond it and say I was truly
22 impressed, and I spent many, many days going through
23 the DEMS data on the mines, and this was the data that
24 was developed by the mine operator year by year,
25 specific pieces of equipment, year of manufacture,

1 year put in use, percentage time use. It was really a
2 remarkable data set on the sources of exposure.

3 What was also very important was that it
4 included year-by-year ventilation data on each of the
5 mines. That was extraordinarily valuable. And I
6 would say a third point that looms today is, you know,
7 an engine can't produce emissions unless it's fueled.

8 MS. McCONNELL: That's true.

9 DR. McCLELLAN: Fuel quantity.

10 MS. McCONNELL: It's not running, is it?

11 DR. McCLELLAN: No, no.

12 MS. McCONNELL: Okay.

13 DR. McCLELLAN: No. But many people miss
14 that.

15 MS. McCONNELL: Yeah.

16 DR. McCLELLAN: I submit that a very good
17 estimate of what's in the air in a mine determined in
18 part by diesel equipment and fuel usage and
19 ventilation, and one of the things we do know today or
20 at least the mine operators that I have worked with
21 have moved to using ultra-low sulfur fuel as a sole in
22 terms of must be used today in terms of on-road
23 operations. That's 15 ppm and lower, and work that I
24 have done show that most times delivery at the pump is
25 10 ppm sulfur and lower. And I know going back to

1 earlier times to give us a benchmark we had fuel that
2 was being marketed that was 1500 ppm and moved down
3 some 500.

4 So it's important to recognize that that's a
5 blanket -- that's a situation there and I suspect most
6 mine operators have gone to that high-quality fuel.

7 MS. McCONNELL: Okay.

8 MR. ELLIS: If I can just add a little bit
9 to what we've been talking about here in terms of the
10 data that's available. Looking at the changes that
11 have been made in diesel technology, diesel control
12 technology, diesel fuel types, MSHA in the RFI notes
13 the reductions in diesel particulate exposures that
14 have happened since the rule went into effect.

15 MS. McCONNELL: We do.

16 MR. ELLIS: You know, we actually have
17 exposure readings now, and to be able to correlate
18 that with changes in technology, changes in control
19 technologies, changes with fuel types, I mean, there's
20 a lot of insight that could be gained by getting all
21 this information out there and doing some analysis of
22 it.

23 MS. McCONNELL: Okay. That's very good.

24 Greg, did you want to -- I know this is not
25 your purview, but did you have any questions?

1 MR. MIEKLE: The ventilation studies were on
2 the fan or on the workplace?

3 DR. McCLELLAN: The data that I reviewed
4 were data in terms of total mine ventilation. So I
5 would suspect -- I know from personal knowledge
6 ventilation is costly, so you want to use it
7 effectively to try to reduce exposure. But that data
8 was not available within the DEMS data set.

9 MS. McCONNELL: Okay. Thank you. You've
10 given us a lot to think -- a lot of food for thought.

11 DR. McCLELLAN: I'll be pleased to address
12 any other questions you may have later on these.

13 MS. McCONNELL: Okay. Thank you.

14 DR. McCLELLAN: We will make certain that
15 you have these key papers.

16 MS. McCONNELL: Yeah, that would be good to
17 have so we can add that to the record.

18 DR. McCLELLAN: Yeah.

19 MS. McCONNELL: Excellent. Thank you.

20 MR. ELLIS: Thank you.

21 MS. McCONNELL: Okay. Our next speakers are
22 Linda Raisovich-Parsons and Josh Roberts. Are you
23 testifying together?

24 MR. ROBERTS: We can.

25 MS. McCONNELL: Okay. They're with the

1 MR. ROBERTS: Thank you.

2 MR. FINDLAY: Oh, hold on, please.

3 MS. McCONNELL: Well, do you have -- I'm
4 sorry. There's one question.

5 MR. FINDLAY: Are you aware of any other
6 states that might be working on rulemaking besides
7 Ohio, Pennsylvania, and West Virginia?

8 (No verbal response.)

9 MR. FINDLAY: Nothing? No activity on that
10 topic now?

11 MR. ROBERTS: No.

12 MR. FINDLAY: Okay. Thank you.

13 MS. McCONNELL: Thank you.

14 Our next speaker is Ed Green, BCOA, Murray
15 Energy -- I can't read the last one.

16 MR. GREEN: Good afternoon, everybody. It's
17 nice to be here on the home stretch as we finish this
18 public meeting this afternoon, and, Sheila, I
19 appreciate the distinction between the hearing and a
20 public meeting. Thank you.

21 MS. McCONNELL: You're welcome.

22 MR. GREEN: My name is Ed Green, and I'm
23 here today to present a statement regarding MSHA's RFI
24 as published in the *Federal Register* for June 8. My
25 statement is offered on behalf of Murray Energy

1 Corporation, the largest privately owned coal company
2 in the United States; the Bituminous Coal Operators
3 Association, a trade group that represents a number of
4 entities dealing with the United Mine Workers; and
5 Bridger Coal Company, which is a company in Wyoming,
6 an underground coal company that provides coal to the
7 Bridger Power Plant.

8 To begin, we're pleased to provide MSHA with
9 this statement. We're reviewing the RFI with great
10 interest, and our preliminary view is that it will
11 help us and all stakeholders focus on a topic that is
12 worthy of attention.

13 We want to say right off the bat that we
14 support and agree with the statements of the
15 Industrial Minerals Association proposing that MSHA
16 and NIOSH establish a diesel health effects
17 partnership and that MSHA grant at least a 90-day
18 extension of the comment period from the current
19 deadline of September 6. That extension will allow
20 stakeholders to benefit from what we expect will be
21 learned from the first meeting of the partnership.

22 I couldn't be more passionate in
23 recommending this partnership, panel members. I think
24 we all know that they've been extraordinarily valuable
25 in other complicated technical topics. Sheila, you

1 were at the meeting of the Refuge Alternative
2 Partnership several weeks ago in Pittsburgh.

3 MS. McCONNELL: That's correct.

4 MR. GREEN: And I think it's fair to say you
5 probably learned more in that short period of time
6 than you were able to --

7 MS. McCONNELL: Actually, it was on
8 proximity.

9 MR. GREEN: Proximity detection.

10 MS. McCONNELL: Right.

11 MR. GREEN: It gets confusing after a while
12 for old people like me, you know. But in any event,
13 it was a very, very worthwhile meeting I think you'll
14 agree and you learned a lot.

15 MS. McCONNELL: I did.

16 MR. GREEN: I think the partnership would
17 allow the same sort of attention to be brought to
18 complicated problems, and I should add there is a
19 Refuge Alternative Partnership too that is also
20 dealing with a very, very complicated topic.

21 So what we want to do is describe -- what I
22 want to do here is to describe briefly how MSHA
23 currently regulates the exposure of underground coal
24 miners to diesel exhaust. There are fundamental
25 differences between those regulations and the MSHA

1 rules that govern the exposure of underground metal
2 and nonmetal miners.

3 And I'm going to also briefly address the
4 recent research identified in the RFI, and I'm going
5 to reintroduce Roger to the MSHA panel because he's
6 also an advisor to the companies, as well as to the
7 IMA-NA. And finally we'll address our understanding
8 of the true underlying basis for the initiation of
9 this RFI and we'll remind MSHA that at a time when the
10 companies are dealing with the greatest ever economic
11 downturn of the entire U.S. coal industry, MSHA must
12 take into special account the economic feasibility of
13 any regulatory steps MSHA may advance as a next step.

14 And from a personal perspective, I want the
15 MSHA panel to know that I've been working frequently
16 on diesel safety and health issues since 1972, first
17 as a lawyer in the early days of the modern Federal
18 Mine Safety and Health Programs; secondly, as the
19 general counsel to the American Mining Congress, a
20 precursor group to the National Mining Association.
21 That's when I met Roger for the first time, when he
22 was serving on the MSHA Diesel Advisory Committee; and
23 lastly as an attorney in the nationally recognized
24 mining practice at the Washington law firm of Crowell
25 & Moring.

1 So let me speak quickly now to the current
2 MSHA regulations as far as underground coal mines are
3 concerned. Those are contained in several portions of
4 30 C.F.R., scattered around in the Code of Federal
5 Regulations.

6 First, you have Subpart E of 30 C.F.R. Part
7 7 dealing with diesel engines intended for use in
8 underground coal mines.

9 Second, you have Subpart F of Part 7, those
10 are diesel power packages intended for use in areas of
11 underground coal mines where permissible electric
12 equipment is required.

13 Then you have 30 C.F.R. Part 36 that deals
14 with approval requirements for permissible mobile
15 diesel-powered transportation equipment. And then you
16 have 30 C.F.R. Part 72, which are the health standards
17 for coal miners in Subpart D, diesel particulate
18 matter in underground areas of underground coal mines;
19 and finally, 30 C.F.R. Part 75, mandatory safety
20 standards in underground coal mines; Subpart D,
21 diesel-powered equipment. Subpart D deals with things
22 like maintenance, fuel requirements, fire prevention
23 regulations, and a bunch of other miscellaneous
24 issues.

25 But at the heart of those regulations are

1 the provisions of Subpart D and Part 72. The various
2 sections, 72.500, 72.501, 72.502, set forth grams per
3 hour emission limits of diesel particulate matter for
4 permissible diesel-powered equipment; in 72.500,
5 non-permissible heavy-duty diesel-powered equipment,
6 generators and compressors; and 72.501, in non-
7 permissible light-duty diesel-powered equipment other
8 than generators and compressors.

9 So there are some exceptions. Generally
10 speaking, MSHA is going to determine compliance with
11 these emission requirements, and this is a critical
12 difference between the coal regulations and the metal
13 and nonmetal regulations.

14 MSHA uses the amount of DPM emitted by a
15 particular engine during the Part 7 engine approval
16 testing. That amount is what is put on the machine as
17 the grams per hour limit, and then once it's deployed
18 underground, those engine emissions are -- the limits
19 are not tested in real time for a very simple reason,
20 because real-time testing would be unworkable in an
21 underground coal mine considering that the ambient
22 atmosphere contains particles of carbon from the coal
23 being mined, as well as the carbon contained in the
24 coal itself.

25 So a PEL like we have in the metal and

1 nonmetal regulations is not realistic for coal mines,
2 and this regulatory scheme for exposure of miners to
3 diesel exhaust is necessarily very different from that
4 in underground metal and nonmetal mines where miners'
5 exposure is based on a measured, real-time personal
6 exposure limit of DPM expressed as total carbon, as
7 set forth in 57.5060.

8 Now, with that important distinction in
9 mind, the companies note that the RFI identifies key
10 recent research on which the RFI depends. You've
11 already heard from Dr. McClellan speaking for the IMA-
12 NA in what I thought was a wonderful tutorial. Dr.
13 McClellan is also a consultant for the companies, and
14 as such, we not only endorse his presentation for the
15 IMA-NA, but following my introduction Roger is going
16 to have some additional commentary to give to you on
17 our behalf.

18 We also want to remind the panel that
19 pursuant to Mine Act Section 101(a)(6)(A) MSHA must
20 consider all of the latest scientific evidence in the
21 field, and in that respect, the companies also endorse
22 Dr. McClellan's critique of the HEI report referenced
23 in the RFI. And very importantly, the companies
24 strongly, strongly agree with the idea of establishing
25 an MSHA/NIOSH partnership with all of the stakeholders

1 to discuss in detail the questions MSHA has raised.

2 Before the hearing started, and I'm
3 certainly not speaking for the UMWA or for anybody
4 else other than my clients, but I briefly talked with
5 the folks from the UMWA. They can speak for
6 themselves if they wish. And I think they are
7 interested in the idea of a partnership, and I hope
8 that MSHA will strongly consider that with your sister
9 agency, NIOSH. It's an important solution to dealing
10 with difficult technological issues, and we think it's
11 a very, very important thing to do.

12 As far as our understanding of what the true
13 basis for the RFI is, we've read the introductory
14 language and we're aware of the Salt Lake City, Utah,
15 and Pittsburgh hearings, and we've seen in the RFI
16 that MSHA has said that the Agency's mind is open at
17 this juncture as to whether additional rules dealing
18 with exposure of underground miners to diesel exhaust
19 are necessary. We like to hear that, but candidly we
20 wonder about its accuracy.

21 We say that because we're aware of 2012
22 letters from UMWA and a group of public health
23 academicians appearing to petition MSHA to promulgate
24 stricter standards for both coal and metal and
25 nonmetal mines than those currently in effect. We

1 also understand and I think we heard here today that
2 the UMWA called upon MSHA -- is calling upon MSHA for
3 new and more stringent rules, as did the steel workers
4 in Pittsburgh.

5 We want to say categorically that although
6 we're not opposed to new rules we want to make sure
7 that they are need- and science-based. Let me
8 emphasize again need- and science-based. And we also
9 need to address feasibility. So before reintroducing
10 Roger McClellan to you for his specific comments, on
11 our behalf, please allow me to reemphasize and support
12 our endorsement of his critique of the HEI report, our
13 endorsement of the establishment of a diesel exhaust
14 health effects partnership.

15 And returning to Mine Act Section
16 106(a)(6)(A), the companies want to remind MSHA of its
17 mandatory obligation to consider the feasibility of
18 any new rules the Agency may adopt. Feasibility not
19 only includes technological feasibility, which is
20 difficult enough, hence our request for the
21 partnership, but also economic feasibility, and in
22 that regard, MSHA has to take into account that the
23 U.S. domestic coal mining industry is under severe
24 stress, with several major coal producers, public coal
25 producers undergoing Chapter 11 reorganization as we

1 meet here today, and with prices down and
2 environmental regulatory pressure up. And with all of
3 that in mind, I will turn to Dr. McClellan so he may
4 give you his additional comments and another tutorial.

5 DR. McCLELLAN: Good afternoon. Thank you
6 for allowing me the opportunity to speak to you today
7 for a second time. I am Roger O. McClellan, advisor
8 on toxicology and human health risk assessment
9 matters, with emphasis on issues concerning airborne
10 materials, such as diesel exhaust, and their potential
11 health effects in workers in general population.

12 As I noted earlier today, I have a special
13 interest in and have conducted research on health
14 hazards of diesel exhaust emissions since the 1970s.
15 I would like to ask that my written comments be
16 entered into the record in their entirety, and since
17 we've covered some of these points quite well earlier
18 today I may offer some abbreviation in the interest of
19 time.

20 I do offer this statement on behalf of the
21 Murray Energy Corporation, the Bituminous Coal
22 Operators Association, and Bridger Coal Company, the
23 companies. I'm serving as an advisor to the companies
24 on developments regarding the potential health effects
25 of exposure to workers to diesel exhaust emissions.

1 The companies, their legal counsel and I
2 have read with great interest MSHA's Request For
3 Information on Exposure of Underground Miners to
4 Diesel Exhaust. It's my understanding that MSHA
5 issued that request for information and is holding
6 these public meetings to gather information to enable
7 the Agency to review its existing standards and policy
8 guidance on controlling miners' exposure to diesel
9 exhaust, to evaluate their effective -- the
10 effectiveness of the provisions now in place to
11 preserve miners' health.

12 The companies obviously value worker safety
13 and health, welcome the opportunity to participate in
14 this fact-gathering process. I'm here again, as I
15 emphasized earlier today, to urge MSHA to ground its
16 inquiry in all the science, consider all of the
17 currently available information on potential health
18 effects of exposure to diesel exhaust. It is a very
19 complicated, very voluminous literature challenge to
20 interpret. It's, as I said earlier today, critically
21 important at this initial phase that MSHA review all
22 the available information, get the science right, and
23 as I said, it is a very complicated science.

24 I can digress and note that as I discuss
25 with my scientific colleagues, this issue of the

1 different metrics, their eyes start to glare over.
2 Sometimes they're even befuddled as to what they used
3 in their last analysis. They sometimes say, well, it
4 was diesel particulate matter, and I ask, was it total
5 carbon? Well, it was diesel particulate matter.
6 That's what the industrial hygienists gave us. Did it
7 include organics? I don't know.

8 So you've got that challenge because as you
9 work through this data it's critically important we
10 understand all the units and how it fits together.

11 As I noted earlier, it's important to
12 recognize the science informs the policy decisions
13 that are inherent. Science can't give the right
14 number. It's the science that informs well-
15 intentioned, well-informed policymakers that come up
16 with the particular policy outcome.

17 I offered comments earlier today in terms of
18 the recent research. I won't belabor a lot of that.
19 Simply note first that we have only the two papers
20 from the original investigators in DEMS that are cited
21 in the RFI. That must be complemented by the five
22 detailed papers that NIOSH/NCI developed on the
23 exposure assessments, and it's important that we move
24 beyond that and, as I note in Item 2, this work of the
25 independent analysts.

1 This truly is a remarkable situation. We've
2 had the Congress involved in debating thousands of
3 hours, they've introduced bills in terms of secret
4 science. All of these bills call for an openness in
5 science, a sharing of data. The national academies,
6 National Research Council has recently published a
7 report on a conference that addresses this whole issue
8 of sharing of data. I'm here to tell you this is a
9 real-world example that the system can work, data can
10 be shared, and independent analysts can sometimes come
11 up with different answers in terms of that data.

12 So, in this case, we've had a very rich data
13 set, DEMS, that has been used effectively by the
14 original investigators and now by the independent
15 analysts. So you'll need to get that into the system.

16 We went through this earlier today, the
17 importance of REC and understanding that this rich
18 data set can be used to come up with alternative
19 estimates of REC, and I think when you examine those
20 papers carefully you'll agree with me that the REC
21 estimates that my colleagues and I develop based on
22 horsepower and CFM are a very simple and direct
23 approach and deserve at least as much attention, if
24 not more, than those of the original investigators.

25 It's not necessary for us to say this is

1 right, that's wrong. There is no right/wrong answer
2 in terms of the REC estimate, but one does have to
3 understand the uncertainty because that uncertainty is
4 going to underlie then any of the policy decisions
5 that are made.

6 Likewise, in terms of three, the Health
7 Effects Institute and their panel, I certainly applaud
8 that effort. I followed it very carefully. I know
9 they were well-intentioned individuals participating.

10 I am somewhat alarmed that one of the members of the
11 panel stated publicly that he gave only secondary
12 considerations on the part of the independent analysts
13 because after all it was funded by industry.

14 I am here to tell you publicly that my
15 position is science and the quality of science is not
16 determined by the individual's employer but by the
17 integrity of the individual scientists, and so I'm
18 certainly not embarrassed to say that I've accepted
19 money from federal agencies and from private entities
20 and private companies, and my science is part of my
21 integrity.

22 Four, when only the analyses of the DEMS
23 data available were those of the original
24 investigators, many scientists did believe that the
25 epidemiological evidence for diesel exhaust being

1 characterized as a human lung carcinogenic hazard was
2 made stronger by DEMS when compared to the evidence
3 available pre-DEMS, the evidence that MSHA used in its
4 previous rulemaking.

5 In my opinion, when the results of the
6 independent analysts using the DEMS data set are
7 considered in addition to the original results of the
8 original investigators, the classification of diesel
9 exhaust exposure as a human lung carcinogenic hazard
10 is much less certain than when only the original
11 analyses were used.

12 To ensure that any future steps taken by
13 MSHA are grounded in science, sound science, any
14 quantitative estimates of lung cancer risk for
15 exposure to diesel exhaust must consider the results
16 of all of the analyses of the DEMS data, including
17 both the original NIOSH/NCI investigators and the
18 results of independent analysts. This is an important
19 point that I think is very important that it be
20 conveyed to our colleagues at NIOSH who will be taking
21 a lead role in terms of any quantitative risk
22 assessment that's done in terms of diesel exhaust
23 focusing on occupational hazards.

24 Five, my earlier statement discussed the
25 concept of hazard risk. As I said, they are not

1 equals. One can have a more certain characterization
2 of hazard and not alter the actual estimate of risk.
3 It may go up. It may go down. And as we said, hazard
4 is qualitative. Risk is a quantitative concept. I've
5 long been an advocate of quantitative risk assessment,
6 but I've also in recent decades come to better
7 appreciate the fact that computers can crank out
8 answers to four or five-digit points does not mean
9 that we have more confidence in what those results
10 are.

11 Six, an important point I'd like to build on
12 as I reviewed the two approaches that MSHA has taken
13 to regulate exposure to workers is to discuss this
14 conceptual framework that links sources of emissions
15 influenced by ventilation to workplace exposure
16 environments, and workplace exposure environments are
17 of ultimate concern because that's what the miner
18 breathes, that is what may or may not give rise to
19 disease. So that framework is really at the core of
20 your strategic approach to regulating exposure of
21 miners.

22 Seven, in your current regulations, you used
23 two different approaches as I understand it. You
24 regulate exposure to diesel exhaust in metal and
25 nonmetal mines and coal mines differently where the

1 metal and nonmetal mines, the regulations focus on the
2 workplace environment and limiting exposure to diesel
3 exhaust particulate matter to the PEL, specified as
4 160 micrograms total carbon per cubic millimeter
5 averaged over eight hours. And as I said, it's very
6 noteworthy, important to recognize total carbon
7 metric for DPM includes both elemental carbon and
8 organic carbon. This is different than the REC metric
9 based only on elemental carbon estimated in DEMS used
10 by both the original investigators and the team that I
11 participated in.

12 In contrast, as well known to you, I'm sure
13 to Mr. Miekle, is the worker protection of coal miners
14 from exposure to diesel exhaust focuses on indirect
15 control of the airborne mine environment by setting
16 emission limits grams of diesel particulate matter per
17 hour for diesel-powered equipment.

18 Now that approach is dictated, as you well
19 understand better than I, by that complex atmospheric
20 environment in coal mines with carbon present, coal
21 dust, as well as in diesel exhaust, as well as carbon
22 from other sources, and in both elemental carbon and
23 organic carbon form.

24 At the very least, in assessing standards,
25 the companies also do ask MSHA continue to be mindful

1 of the difficulties coal operators face in accurately
2 measuring the diesel exposure atmosphere for their
3 workers. So we have this different situation in terms
4 of the use of the PEL versus engine emission.

5 Eight, any review to evaluate the
6 effectiveness of regulation now in place to preserve
7 miners' health needs to be based on all the currently
8 available scientific information on both potential
9 health hazards and exposure to diesel exhaust. This
10 is a case whether the strategy is based on PEL or on
11 engine emissions. They both have to have that same
12 science base that we're operating off of.

13 Nine, in my earlier statement on behalf of
14 the IMA-NA task force, I emphasized that in
15 considering any use of analyses based on DEMS data set
16 or to recognize the strongest association between
17 diesel exhaust exposure based on estimated REC and
18 lung cancer was found when a 15-year lag between
19 exposure and lung cancer is used.

20 This suggests that diesel exhaust exposures
21 of greatest relevance to the workers in DEMS are for
22 1982, 15 years before the end of follow-up in
23 December 31, 1997 and earlier. DEMS thus does not
24 account for the revolutionary changes in diesel
25 technology, engines, engine after-exhaust after

1 treatment devices, fuels, and I would say computer
2 control of the system that has occurred in recent
3 decades.

4 I've been impressed by that and I have
5 published a paper on that which I will provide you a
6 copy of emphasizing how revolutionary these changes
7 have been. I think it's one of the most significant
8 revolutionary changes of the industrial age.

9 Those changes have largely in recent years
10 been driven by the U.S. environmental agencies' diesel
11 regulations, and the new technology has been first
12 implemented in the heavy-duty on-road fleet and then
13 later in other sectors. These are developments I
14 follow very closely. There again is a significant
15 literature, but I in particular would call attention,
16 your attention to two papers by Khalek and colleagues,
17 one in 2011 that relates to engines operating to meet
18 EPA's 2007 rule and then in 2015 a publication
19 relating to engines meeting the 2010, which is
20 important because, as you know, 2010 rule considers
21 both particulate emissions and the oxides of nitrogen,
22 and obviously both are of concern to you.

23 Let me just say as an aside that the engines
24 that were evaluated by Dr. Khalek in 2011 were used in
25 conducting a long-term cancer bio assay at the

1 Lovelace organization in Albuquerque, New Mexico, an
2 organization that I headed up for some 20-plus years,
3 and in those studies, obviously the difference in
4 particulate emissions that were being studied were
5 remarkably different than what we studied in the 1980s
6 and about 100-fold lower.

7 And what I'm pleased to note is the results
8 of that study show that in the laboratory animal
9 exposed essentially for lifetime there was no
10 carcinogenic effect of exposure to maximum
11 concentrations of the diluted diesel particulate
12 matter. So that was reassuring in terms of those.

13 The new technology diesels have virtually no
14 elemental carbon or organic carbon in the emissions.
15 They are remarkably clean, and that's evident in the
16 papers by Khalek. So MSHA must consider these
17 revolutionary changes in technology, as well as the
18 feasibility of their implementation.

19 Ten, finally the issue of relevance of any
20 findings from the study of workers in nonmetal, salt,
21 pot ash, trona, limestone operations like those
22 followed in DEMS, and as we've already emphasized at
23 the time, to the coal mine workers needs to be
24 carefully examined.

25 And it's my understanding that our

1 underground coal mines typically are treated as though
2 they at least have a potential for methane build-up,
3 and thus as gassy mines they're ventilated
4 accordingly. That's obviously very favorable to us
5 when we look at the atmospheric environment and the
6 impact of the two sources, the diesel engines and
7 ventilation rates that impact on potential exposure to
8 workers.

9 So thank you again for providing me the
10 opportunity to speak to you today. Be happy to take
11 any questions you have.

12 MR. GREEN: And let me just add something if
13 I may that Roger's commentary prompts me to say, and
14 that is with regard to the science. All of the
15 science that MSHA relies on in the RFI has really no
16 nexus to speak of to the underground coal mining
17 industry, and I want to urge MSHA to consider that as
18 you consider the next steps with regard to coal.

19 That's not to say, again, that there may not
20 be a rationale for reexamining the underground coal
21 mining regulations. The notion of the new
22 requirements in West Virginia, Ohio, and Pennsylvania,
23 if I recall them correctly from what the UMWA had to
24 say, may be important to look at, but once again I
25 urge the Agency to turn to its sister agency, NIOSH,

1 and to at least to start with put all of these
2 important issues into the context of a partnership so
3 that all of us can learn together how best to address
4 the problem.

5 And, again, returning to the science, we
6 have lots of interesting science with regard to
7 underground mining. Virtually all of it has to do
8 with non-coal mines, if you will. Roger said that his
9 understanding is that all of the underground coal
10 mines have to some degree have to be ventilated.
11 Well, as Greg knows and Sheila knows, and I think you
12 know too, Chris, if you stand up straight in an
13 underground coal mine, you'll be blown away by the
14 ventilation that is coming into the working spaces.

15 So ventilation in underground coal mines is
16 key because it blows away respirable dust as well as
17 methane, and if it blows away respirable coal mine
18 dust, it also blows away diesel exhaust, so there's a
19 whole different, in my humble opinion, a whole
20 different set of problems dealing with underground
21 coal as opposed to the science that you've identified
22 in the RFI.

23 DR. McCLELLAN: There's one other point I
24 might raise in that I was given some feedback in terms
25 of your previous meetings and I understand that there

1 were perhaps some casual discussion of yet another
2 metric of material in the airborne environment, and
3 that's particle number, and I want to just briefly
4 introduce that to you and say that the issue of
5 regulating engine emissions, diesel engine emissions
6 particularly, based on particle number has received a
7 lot of consideration in Europe, and there have been
8 individuals who have alleged that while we've reduced
9 the particulate emissions, DPM or REC, we've "left
10 unaltered or increased" inadvertently the particle
11 number emissions, and that issue is discussed in some
12 degree in one of the Khalek papers, but I just want to
13 emphasize that that issue does deserve careful
14 attention in my opinion.

15 The science is that the brief period of
16 increased particle number emissions associated with
17 package of diesel technology is very brief and that
18 there's not a need to move out and start thinking
19 about how we're going to regulate on that or in some
20 way that takes away from these really revolutionary
21 changes that have been made in reducing particulate
22 matter emissions, both elemental carbon and organic
23 carbon. So I just wanted to enter that into the
24 record.

25 MS. McCONNELL: Okay. Thank you. I don't