

UNITED STATES OF AMERICA

DEPARTMENT OF LABOR

MINE SAFETY AND HEALTH ADMINISTRATION (MSHA)

+ + + + +

PUBLIC MEETING ON THE USE OF OR IMPAIRMENT FROM
ALCOHOL AND OTHER DRUGS ON MINE PROPERTY

HELD OCTOBER 26, 2005
At The Hyatt Regency Hotel
1 St. Louis Union Station
St. Louis, Missouri

9:10 a.m.

PRESENT:

BECKI SMITH, Acting Director, Office of
Standards, Regulations and Variances, MSHA

EDWARD SEXAUER, Chief, Regulatory Branch,
Office of Standards, Regulations and
Variances

TOM McCLOUD, Training Policy Organization,
MSHA

GENE AUDIO, Metal and Non-metal Division,
MSHA

MARCUS SMITH, Coal Mine Safety and Health, MSHA

Received 11/04/05

AB41-HEAR-2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

<u>PRESENTERS</u>	<u>PAGE</u>
John Gallick, Director of Safety Foundation Coal Corporation	10
David R. Owen, UMWA Freeman United Mine	40
Leonard Schwarz, Safety Director Fred Weber, Incorporated	45
Wesley P. Campbell, Human Resource Specialist Monteray Coal Company	57
Betty Emerson, President C-SAPA	68

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P R O C E E D I N G

(9:10 a.m.)

MS. SMITH: Good morning. My name is Becki Smith. I am the Acting Director of the Office of Standards, Regulations and Variances for the Mine Safety and Health Administration. On behalf of David Dye, who is the Acting Assistant Secretary for Mine Safety and Health, I would like to welcome all of you to this public meeting this morning.

Also with me are other MSHA folks this morning. On my left, -- on my right, I guess, you moved on me, is Ed Sexauer. Ed is the Chief of our Regulatory Division, and he is heading up this Agency effort as we look into this issue. Marcus Smith is from our Coal Mine Safety and Health office, in MSHA; Arlington. Tom MacLeod is from our Educational organization within MSHA and Gene Autio is from our Metal and Non-Metal organization within MSHA. Also in the audience is Elena Carr. Elena is from the Department of Laborers Working Partners Program. And I think some of you will want to chat with Elena about resources available from the Department's perspective, if you care to.

As you know, the purpose of this meeting this morning, is to talk about the Advanced Notice of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Proposed Rule Making on the Use of or Impairment From
2 Alcohol and Other Drugs on Mine Property. This is one
3 of seven meetings that we are having on this issue.
4 We held our first meeting in Salt Lake City on this
5 past Monday, and the other meetings that we will be
6 holding after today, will be in Birmingham, Alabama,
7 Lexington, Kentucky, Charleston, West Virginia,
8 Pittsburgh, Pennsylvania and Arlington, Virginia. The
9 Federal Register document lists the dates and exact
10 locations for the remaining meetings, and there are
11 copies at the back table if you would care to pick up
12 a copy.

13 The purpose of these meetings is to obtain
14 information about the use of or impairment from
15 alcohol and other drugs on mine property. We will use
16 the information from these public meetings and from
17 written comments to help us make decisions about
18 whether we need to change our existing rules, develop
19 new rules, or provide training or other assistance to
20 the mining community on these issues. Because we
21 believe there may be a variety of approaches to
22 address the problems of alcohol and other drugs on
23 mine property, we are seeking information relating to
24 both regulatory and non-regulatory solutions.

25 The data and factual information we obtain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from these public meetings and written comments, will
2 help us to develop a more informed understanding of
3 the problem and its solutions. Our preliminary review
4 of our fatal and non-fatal mine accident records
5 revealed a number of instances in which alcohol or
6 other drugs or drug paraphernalia were found or
7 reported, or in which the post-accident toxicology
8 screen revealed the presence of alcohol or other drugs.

9 However, our accident investigations do not routinely
10 include an inquiry into the use of alcohol or other
11 drugs as a contributing factor. There may be many
12 instances in which alcohol or other drugs were
13 involved in accidents and either are not reported to
14 us, or we do not uncover them during our
15 investigations.

16 Because we are concerned that alcohol and
17 other drugs can create risks to miner safety, we have
18 initiated a number of education and outreach efforts
19 to raise awareness in the mining industry of the
20 safety hazards stemming from the use of alcohol and
21 other drugs. These efforts include alliances with
22 four international labor unions, production of
23 awareness videos on the hazards of alcohol and other
24 drugs, monetary grants to states to provide substance
25 abuse training, and stakeholder meetings at the local

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 level to discuss these issues and raise awareness of
2 the problems. Additionally, during a one-day summit
3 we conducted with the states of Kentucky, Virginia,
4 and West Virginia in 2004, several coal mine operators
5 described the effectiveness of their drug-free
6 workplace programs and expressed their concern that
7 such programs were not universal in the industry.

8 The significance of the problem of alcohol
9 and other drugs in the workplace, has been recognized
10 by the federal government and a number of programs
11 have been implemented, and various statutes enacted
12 with the goal of reducing the use of alcohol and other
13 drugs in the workplace. For example:

14 The Anti-Drug Abuse Act of 1986 allows the
15 Secretary of Labor to initiate efforts to address
16 these issues.

17 The Omnibus Transportation Employee
18 Testing Act of 1991 requires the transportation
19 industry employers to conduct drug and alcohol testing
20 for employees in safety-sensitive positions.

21 The Drug-Free Workplace Act of 1998
22 establishes grant programs that assists small
23 businesses in developing drug-free workplace programs.

24 And the Department of Labor's Working
25 Partners for an Alcohol and Drug Free Workplace, of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which we're a partner, is a public outreach campaign
2 raising awareness and assisting employers to implement
3 these programs.

4 On the regulatory side of this issue, we
5 currently have a safety standard for metal and non-
6 metal mines that addresses the use of alcohol and
7 narcotics at these mines. The rule language is the
8 same for both surface and underground metal and non-
9 metal mines. The rule language states, and I quote:

10 "Intoxicating beverages and
11 narcotics shall not be
12 permitted or used in or around
13 mines. Persons under the
14 influence of alcohol or
15 narcotics shall not be
16 permitted on the job."

17 Between January of 2000 and June of 2005,
18 we issued 75 violations of the metal, non-metal
19 surface rule, and 3 violations of the metal and non-
20 metal underground rule. We do not have a similar
21 regulatory requirement for coal mines.

22 Using drugs or alcohol at a mine site can
23 impair a miner's judgment significantly at a time when
24 a miner needs to be alert and aware. Even
25 prescription medications can affect a worker's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perception and reaction time. Mining is a complicated
2 and hazardous occupation, and a clear focus on the
3 work at hand is a critical component of workplace
4 safety.

5 Therefore, through these public meetings,
6 and written comments, we are seeking data and
7 information about six general topics that are outlined
8 in the Federal Register Notice. They are as follows:

9 (1) The nature, extent, and the
10 impact of substance abuse at
11 the workplace, including how
12 to measure the extent of the
13 problem.

14 (2) The types of prohibited
15 substances in use and the
16 problems they present.

17 (3) The impact of effective
18 training to address substance
19 abuse.

20 (4) How our investigation of
21 accidents could address
22 alcohol and other drugs.

23 (5) The components of a Drug Free
24 Workplace Program work and how
25 well they work.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (6) The costs and benefits of
2 addressing substance abuse at
3 mines.

4 The Federal Register document poses
5 several questions about each of these six issues and
6 you are encouraged to respond to these questions
7 specifically as they relate to the mining industry.

8 The procedure for each of our public
9 meetings is the same. Those who have notified us in
10 advance of their intent to speak or have signed up
11 today to speak, will make their presentation first.
12 After all scheduled speakers have finished, others are
13 free to speak. We will conclude this public meeting
14 when the last speaker has finished. This meeting will
15 be conducted in an informal manner and formal rules of
16 evidence will not apply. The MSHA panel may ask
17 questions to clarify statements for the record, but
18 there will be no cross examination of the speaker.

19 If you wish to present any written
20 statements or information today, please clearly
21 identify your material and give it to me before the
22 conclusion of this meeting. I will identify the
23 material for the record by the title as you have
24 submitted it. You may also submit comments following
25 this meeting, but you must submit them by November

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 27th, which is the close of the comment period. You
2 may submit comments to us by electronic mail, fax or
3 regular mail, at the addresses listed in the Federal
4 Register Notice.

5 A transcript of this meeting will be made
6 available on our web site within several days. If you
7 want a personal copy of this transcript, you can make
8 arrangements with the court reporter.

9 Thank you for your attention and patience
10 to these introductory remarks and we will now begin
11 with the first speaker. We would like to get an
12 accurate record, so if you could state your name and
13 your organization clearly, and then spell your name
14 for the record. Our first speaker is John Gallick.
15 Good morning.

16 MR. GALLICK: Good morning. My name is
17 John M. Gallick; G-A-L-L-I-C-K. I'm here today
18 representing Foundation Coal Corporation; F-O-U-N-D-A-
19 T-I-O-N, Coal Corporation. I'm here today to discuss
20 the Advanced Notice of Proposed Rule Making concerning
21 impairment from alcohol and other drugs on mine
22 property.

23 For the record, I am the Director of
24 Safety for Foundation Coal Corporation. Foundation
25 Coal Corporation is the fifth largest coal company in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the United States. Its affiliates operate both
2 surface and underground mines in West Virginia,
3 Pennsylvania, Illinois and Wyoming. These operations
4 include relatively small continuous miner operations,
5 larger size continuous miner operations, large wall
6 well mines, smaller surface operations and large oak
7 pit mines. The demographics of the employees at these
8 various operations vary, but they pretty much mirror
9 much of the overall industry, that is a workforce of
10 approximately fifty years old, a newer, younger
11 replacement workforce coming into the operations.
12 Some of the mines are represented by UMWA and others
13 are not represented.

14 I would like to give first, a broad
15 overall statement concerning this issue, and then some
16 specific information directed to your questions. I
17 would then like to ask the panel some questions for my
18 own clarification, and finally, I'll try to answer any
19 questions that you have of me.

20 The issue of drug alcohol abuse in the
21 mining industry is not new. The statistics for the
22 general population and specific non-mining industry
23 certainly are vindicative of a problem. The Health
24 and Human Service Survey of 2003 found 16.7 million
25 illicit drug users over the age of eighteen, 12.4

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 million or 75 percent of these drug users are employed
2 in some kind of occupation, and probably most
3 disturbing of all, one in five people in the national
4 workforce who died on the job have tested positive for
5 drugs or alcohol.

6 There are some statistics in this study
7 for a subgroup of mining and construction industry and
8 these statistics may be even more telling. Fifteen
9 percent of this group admit to alcohol abuse, 15.7
10 percent of this subgroup admit to heavy alcohol abuse
11 within the last month of the survey, 12.9 percent
12 admit to illicit drug use within a month of the survey
13 and 10.9 percent admit to alcohol dependency within a
14 year of the survey. Other studies have shown that
15 drug testing has found 4 to 5 percent of all tests
16 test positive. So, whatever number we use there is a
17 significant percentage of issues of drug and alcohol
18 abuse in work places.

19 These statistics and our own observations,
20 have led our affiliated operations to implement drug
21 and alcohol testing programs at their operations. Not
22 discussing specifics of each plan in place, which do
23 vary, in general, all the operations conduct pre-
24 employment testing, all operations have a for cause
25 standard in their plans and some have random sampling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 All these operations have an Employee Assistance
2 Program or EAP available to the workforce. The
3 specific operations continue to evaluate their
4 programs and to modify and adapt them when
5 appropriate.

6 Each operation's testing protocols are
7 somewhat different. Some require urine tests at an
8 off-site location, usually a hospital. Some use on-
9 site saliva testing with an off-site visit only
10 required if there are positive test results from the
11 on-site saliva test. And some testing in between.

12 Tests for alcohol and drugs can be broadly
13 categorized as pre-employment testing, to keep someone
14 out of the workplace that cannot test clean on a known
15 scheduled test. Two, for cause testing, which is a
16 reactive test in my mind, since most for cause testing
17 that we have found has been post-accident. Although,
18 for cause testing can be suspicious or unusual
19 behavior. And three, random testing, which in my
20 opinion is pro-active and conducted to try to
21 ascertain a problem before an accident occurs.

22 Ironically, it has been proactive testing
23 or random testing, that has been the hardest to
24 implement in organized labor organization operations.

25 Yet, it is the random testing that is the best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 deterrent for reducing drug and alcohol abuse in the
2 industry. It is our opinion that random testing is
3 the best method for preventing or at least minimizing
4 drug and alcohol abuse in any operation.

5 Drug testing protocols now, are well
6 established in a lot of other industries. All the
7 urban legends such as fake positives due to parties
8 where someone smoked marijuana, the famous poppy
9 seeded bagel, should no longer be used as a reason for
10 not conducting testing. We need to look at drug
11 testing as another tool in the toolbox of accident
12 prevention.

13 I'll now try to move on and answer some of
14 your specific questions you posed in your Advanced
15 Notice. (A). The nature and extent and impact of the
16 following: The question you posed as such, are
17 difficult to answer with specificity or with any
18 certainty. In the testing implemented at Foundation
19 Coal's affiliated operations a potpourri of drugs have
20 been detected. Anecdotally it appears that the
21 controlled substances are being used and abused in the
22 workplace as well as illicit drugs that originally
23 initiated most of our drug testing protocols. Abuse
24 of prescription drugs appears to be on the increase,
25 relative to illicit drugs. And drugs used appear to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be as much regional and age-related as anything else.

2 Testing protocols need to be flexible and proactive
3 enough to adjust to the changing drug abuse climate.
4 For this reason all operations test for a range of
5 drugs that will also include many prescription drugs
6 involvement.

7 The misuse or abuse of alcohol and drugs
8 is a societal problem. There is no reason to believe
9 that mining would escape this issue. I can state that
10 where random testing is a part of the drug testing
11 protocol there have been numerous instances where
12 employees either tested positive or chose to quit
13 prior to being tested. I can also state that where
14 random testing has been in affect for a period of
15 time, no employees have failed recent random planned
16 or for cause testing. I can further state though,
17 that few reasonable suspicion or for cause testing,
18 except for those that are used for post-accidents, are
19 completed at any of our affiliates. It's difficult to
20 detect these problems using a suspicion or unusual
21 behavior.

22 The concern should include both the people
23 who quit rather than take a drug test, and those
24 operations that have not yet implemented a random
25 testing program. People who quit may end up being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 another employer's problem as they have not yet been
2 terminated for failing a drug test. Experience with
3 random testing with our organizations lead us to
4 believe that random testing will over a period of
5 time, be the most effective deterrent to drug use and
6 abuse in the workplace.

7 The risk of drugs to miners safety cannot
8 be easily quantified. There can be a direct link of
9 an impaired employee causing an accident, either an
10 injury or a non-injury event, but the data on this is
11 not readily available. MSHA has never, for example,
12 indicated in any fatality report that I am aware of,
13 the possible impact that drug or alcohol impairment,
14 despite normally, I would assume, obtaining autopsies
15 of fatal accidents. I don't know, what does your data
16 show? I have never seen the details on an MSHA web
17 page about the relationship of fatal accidents and
18 drug usage, except for anecdotal comments made at
19 various conferences.

20 I believe there is also a subtler,
21 indirect effect of drug and alcohol abuse. Indirect
22 affects range from absenteeism to simply failing to
23 stay focused on your assigned tasks. Whether these
24 tasks are installing roof bolts, taking methane tests
25 or repairing equipment, does anyone really want those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tasks assigned to someone who is impaired? Again, I
2 don't believe there is any quantitative viable data
3 available for the mining industry other than
4 extrapolating data such as those surveys done by
5 Health and Human Service.

6 (B). Prohibited substances and impaired
7 miners. Although I have no experience with the metal,
8 non-metal standard, the present metal and non-metal
9 standard is not appropriate in my opinion. I do not
10 think it is appropriate to use as a template a
11 standard that would permit the citation of an operator
12 where a positive test was obtained. Further, given
13 the fact that MSHA and the Commission interpret the
14 Act as imposing strict liability, this sort of
15 regulation is wholly inappropriate to address a
16 condition that a miner would actively try to conceal.

17 Those of you who know me know that I am
18 not a believer in excess regulations. I've testified
19 numerous times in public hearings and this is the
20 first time that I have actually requested a
21 regulation. I do think this issue requires a simple
22 stated regulation, but not the one used in metal and
23 non-metal. I believe the regulation should simply
24 require each operator and each contractor doing mining
25 business, to establish a drug and alcohol testing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 program that includes pre-employment testing and
2 random testing following the nationally accepted
3 protocol guidelines. The regulation should not detail
4 the types of testing, assumptions to be tested, or
5 action to be taken on positive tests. The operator
6 should be responsible to develop the plan and action
7 to be taken on positive testing.

8 MSHA's role in this regulation would be
9 three-fold. First, to ensure that a testing program
10 is in place. Second, to provide training and
11 education materials. And third, to provide an updated
12 drug testing web site that will provide information to
13 the operators on the latest testing systems,
14 adulterants being used and the results of the data
15 collected on testing programs and outcomes. If the
16 successes and failures are not tracked and reported to
17 the industry then the value of the program and the
18 need to modify it over time will not be clear. If a
19 drug testing program has been a benefit in other
20 industries, such as transportation, then we need to
21 have MSHA assess the data and tell us if we are doing
22 a good job or a poor job in its implementation.

23 One fear I have of a regulation is that
24 the regulation will attempt to detail the testing
25 protocols, drugs to be tested and action to be taken.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Drugs, drug adulteration and drug testing systems are
2 constantly evolving. Regulations such as those
3 developed in the Omnibus Transportation Employee
4 Testing Act are specific and prescriptive as to how
5 the test will be conducted and for what substances.

6 For example, you're testing using a five
7 drug test that tests for THC, cocaine, amphetamines,
8 opiates and PCP, are the only accepted methods of
9 testing. Today, saliva testing is commonly beginning
10 to be used as an alternative. Also hair testing is
11 being used in some instances. As important, the drugs
12 to be tested must change to adapt to the drugs of
13 choice in a region or in our society. Oxycodone and
14 other drugs not normally abused were not on the radar
15 screen when the Omnibus Transportation Employee
16 Testing Act was instituted. Also, the saliva testing
17 not yet accepted under the Omnibus Transportation
18 Employee Testing Act, offers a number of benefits to
19 an operator.

20 For example, testing can be done
21 underground without a privacy issue. And adulterants
22 are not yet known for saliva testing. Yet, the
23 Omnibus Transportation Employee Testing Act is not
24 flexible enough to make these types of changes. In
25 fact, the draft protocol changing some of these dotted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lines was proposed in 2001. Yet, to my knowledge, has
2 never been finalized. Every regulation MSHA develops
3 must be developed and written to avoid this type of
4 mistake.

5 The questions asked in Part B indicate to
6 me a wish to detail a company's response to positive
7 tests. Sort of the cookbook approach. Clearly any
8 attempt to develop a regulation with prescriptive
9 requirements would actually hinder drug and alcohol
10 programs that have been developed by companies. The
11 basic goal in developing a regulation should be to
12 bring at least a minimum testing program at all
13 operations and for all mine contractors.

14 That said, the general position of
15 Foundation Coal's affiliates is that EAP Programs are
16 in place to provide help to any employee who
17 voluntarily seeks help for a problem. Once an
18 employee tests positive on a random test or for cause
19 test, that employee's issue is handled through the
20 appropriate corrective system used at the mine. All
21 positive tests subject that employee to face
22 corrective actions, frankly, up to and including
23 discharge.

24 Training. Training on the issue of drug
25 use at our affiliates is also a tool. Most operations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conduct training on drug and alcohol abuse as part of
2 their wellness programs. Alternatively, and usually
3 in conjunction with these wellness programs, drug
4 abuse literature is given to employees as a general
5 safety topic for group safety discussions.

6 Prior to implementing drug testing
7 employees were given training on both drug and alcohol
8 abuse and our EAP Programs. This provided employees
9 an opportunity, sort of a window, to understand the
10 issue of drug and alcohol abuse, to recognize the
11 testing that we were going to be implementing and to
12 explain the avenues to seek out for themselves or
13 someone else, through the EAP Program. In short, use
14 the implementation of the testing to give people
15 plenty of time to step forward, seek help, get help,
16 and avoid adverse impacts to the employee and their
17 family.

18 I personally do not see a need for the
19 Agency to modify Part 46 or Part 48 to address this
20 issue. There are enough topics already listed for
21 training and retraining, and frankly, if you are going
22 to reopen (48) for a rewrite there are plenty of other
23 issues involving training that I believe would have a
24 bigger safety impact.

25 (D). Inquiries following accidents. As I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have stated previously, a basic drug and alcohol
2 testing program will include a provision for cause
3 testing, which would include post-accident. Again, it
4 is my contention that each operation in its program
5 should identify the level of event that triggers a for
6 cause test. Frankly, sometimes method of testing and
7 the personnel available to conduct the test determine
8 that level.

9 For example, a surface operation with a
10 full twenty-four hour, seven day a week staffing may
11 conduct drug and alcohol testing for any equipment
12 damage, up to a serious accident and from a broken
13 headlight, without disrupting its operation. Whereas
14 an underground mine with a minimal staff may only do a
15 post-accident test if an injured employee is
16 transferred to the hospital, since the hospital would
17 be the conductor of the test. Obviously, someone's
18 suspected impairment would also be subject for any for
19 cause testing in any case.

20 As saliva testing becomes more accepted,
21 some of the destruction issues and concerns may be
22 reduced. My concern with the question is that the
23 goal of establishing a drug and alcohol testing
24 program is to reduce and hopefully eliminate the use
25 of drugs and alcohol in the workplace. I do not want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be subjected to a violation because an accident
2 occurred and a drug test was not conducted, or the
3 test itself was botched by somebody on the property.
4 That said, I do not believe that for cause testing
5 should be any part of a regulation imposed by the
6 Agency.

7 In your Notice you stated that the
8 previous five year period, -- in the previous five
9 year period 78 violations were issued in metal and
10 non-metal under Sections 56.20001 and 57.20001. My
11 question to you is what is the analysis of these
12 violations? For example, was a company cited after an
13 accident where post-accident drug testing revealed
14 drug/alcohol? Or are these violations where an
15 inspector identified someone on the property obviously
16 impaired? I don't know the information about metal
17 and non-metal.

18 I do not want to implement drug and
19 alcohol testing regulations where we spend our
20 resources debating whether this or that event should
21 have required a test, or whether a botched chain of
22 custody test constitutes a violation. I just don't
23 see how a for cause test standard can be developed
24 that is not going to become a legal nightmare.

25 You're looking at someone who has heard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the infamous statement, "It's your plan," from
2 inspectors as they proceeded to interpret my plan in
3 their own way and write a citation based on that
4 interpretation through a lot of my years. That has
5 happened enough times for me to be weary of endorsing
6 any regulation that details how a company's drug and
7 alcohol testing plan should work. Random and pre-
8 employment are relatively simple for both the industry
9 to comply with and for MSHA to enforce. Stay in that
10 area, that's my suggestion.

11 That said, clearly if Part 50 were to
12 require reported drug results the only actions that
13 should be included would be those actions that are
14 MSHA reportable. However, as you know, many
15 reportable accidents are not done until well after the
16 work shift on which the accident occurrence has ended.

17 MSHA needs to stay away from for cause testing.
18 Otherwise, you encourage operators possibly to test
19 much more than may actually be needed just to avoid
20 additional citations based on subjective assessments
21 of an inspector well after the fact.

22 Part E, drug-free workplace programs. All
23 of Foundation Coal's affiliated operations employ most
24 or all the components of the Drug-Free Workplace
25 Program. As I have previously stated, each plan is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different and location management decides what is best
2 for them. I believe that any program must contain
3 education, an EAP pathway, a testing protocol and
4 consequences for failing to adhere to the drug-free
5 workplace. I believe that any program that
6 incorporates all of the above elements will be the
7 most successful. Whereas programs omitting one or
8 more of these above-stated elements will have a less
9 successful program.

10 Part F, cost and benefits. I am not in a
11 position to really discuss the costs in any detail.
12 Obviously, there is a cost for training and education,
13 a cost for an EAP Program, a cost for drug testing
14 kits, et cetera. The cost to initiate a program would
15 basically be a one-time cost. I include in the one-
16 time cost, training materials, program development,
17 literature, et cetera. Ongoing costs would be
18 associated with the sampling actually being done in
19 the mines. Again, each type of testing system,
20 saliva, urine samples, on-site persons, hospital
21 testing, et cetera, will affect the total cost of the
22 program.

23 In summary, Foundation Coal Corporation
24 supports a basic MSHA regulation that would require
25 all operators and contractors to develop a drug and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alcohol testing program that would include random
2 testing and pre-employment. The details of the
3 program would be up to the operator to develop.
4 MSHA's Education and Training Unit could develop
5 training and educational materials to help the
6 programs. No operator should be discouraged from
7 developing a more comprehensive program, but any
8 program element in a program that are above the basic
9 minimum required by the regulation, which should not
10 and would not be subject to MSHA oversight and
11 enforcement.

12 Finally, I would like to ask the panel a
13 question or two about how the metal and non-metal rule
14 has been enforced. Do operators of metal and non-
15 metal need a sampling plant? Are employees involved
16 and accidents required to be drug tested? I am not
17 familiar with the implementation standard and I am
18 certainly curious about it.

19 Thank you for your time and I will try to
20 answer any questions you may have of me.

21 MS. SMITH: Thank you, Mr. Gallick.
22 Before we get to your questions, I would like to ask
23 you, you mentioned that you do not have with you
24 today, cost information about the components of the
25 plan. But if you do have those costs that you could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submit for the record, you know, if you have
2 information about the first one-time cost for
3 training, et cetera, or the regular and routine and
4 recurring costs for sampling, those kinds of things,
5 those would be helpful to us. If you choose to submit
6 those for the record.

7 MR. GALLICK: Okay. I obviously can
8 gather the information on what it costs us to do a
9 pre-employment test, a for cause test, a random test,
10 for the cost. I can do that. And obviously, the
11 literature and materials, I can do that. I would be
12 reluctant to try to calculate how many minutes or
13 hours of time has been used for wellness training, et
14 cetera. Our EAP Program I would not be able to tell
15 you how many people, or how much it has cost us for
16 rehab or whatever. But I can do that for you.

17 MS. SMITH: Well, if you do have, and
18 wish to submit that information, we would appreciate
19 it.

20 MR. GALLICK: Okay. Thank you.

21 MR. SEXAUER: If I may, if you wouldn't
22 mind elaborating for us. You had said that random
23 drug testing is the hardest to implement, but it's the
24 best method.

25 MR. GALLICK: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SEXAUER: In your experiences why is
2 it hard to implement?

3 MR. GALLICK: Probably being very
4 specific, it's been hard to implement at our Union
5 affiliated operations. We have had to negotiate that
6 and it's been a not well accepted, and it has not been
7 easily accepted. At this point our Union affiliated,
8 -- our affiliates that have Union workers have not
9 implemented random. We have been working on that
10 subject, but at this point in time, curbed.

11 Also, let me follow that up with one
12 further point. Those operations that are doing random
13 I think you will find a very, -- what we have seen was
14 that whatever we saw initially as a problem, whatever
15 initial number of people that failed or quit, once the
16 testing goes through a couple of cycles the number of
17 positive tests drops to almost zero. People either
18 work through the EAP Program, leave the payroll or in
19 some fashion get themselves properly clean.

20 MR. SEXAUER: Well, I appreciate that
21 comment, that was going to be my next question to you,
22 if you would amply on that. But, one other thing. Is
23 there any reason to think that a regulation that would
24 require random drug testing could not or would not
25 apply to a small mine? We have operations with just a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 few people.

2 MR. GALLICK: That's why I think random
3 is the easiest to implement with a regulation. Where
4 we have implemented random, most, -- well all of our
5 operations, we do some of it, -- we use a third
6 party, -- let me start again. If you're a small
7 operation, and we have small operations, we're a
8 larger parent company, but the individual companies
9 are smaller. Random is a scheduled event. I mean not
10 scheduled that the employees know, but scheduled on-
11 site. The number of who needs to be tested is
12 scheduled by someone, typically a third-party person.

13 So there is no issues over always picking you type of
14 thing. And on-site with only confirmatory tests sent
15 to a lab if you test positive; the on-site test is
16 positive, that is the least intrusive, even to a small
17 operator. Obviously, if you are only operating a one
18 or two unit operation your random system could be set
19 up a couple of times a year and the percentages would
20 be relatively small. I don't believe it would be an
21 overburden. I'm sure that some of the other smaller
22 operators will disagree with me, but I don't see it as
23 being a burden.

24 MR. SEXAUER: Do you see any
25 complications in applying the program to independent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contractors?

2 MR. GALLICK: I would think the
3 contractors will be the most difficult. But frankly,
4 a lot of contractors are already doing it. We use
5 many contractors that do pre-employment, for cause and
6 random. They do it much like the CDL(s) with respect
7 that they pull people and have them tested. And
8 getting back to what I said earlier about salvia,
9 that's what makes salvia so much simpler to use. Most
10 people's issues with drug testing, other than, -- I'm
11 not really saying employees' issues, most operators
12 issues are the inconvenience of a urine sample. (A),
13 somebody has to observe the sample. (B), you have to
14 have the facilities to go with that person. You know,
15 all those issues. Saliva testing is much easier, you
16 can do it out in the open, you know, not in a public
17 forum, but in an office. You can pull somebody into a
18 room and have that done. I think that it would work
19 with contractors as well. It would be a much simpler
20 system to use.

21 I believe you can probably go without a
22 third-party, if you're small enough. The third-party
23 just telling you, -- kicking out this is the name and
24 this is the date that you ought to do the test and the
25 saliva test being conducted by somebody who had been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trained in taking the test. If it's a close call for
2 positive, then that person obviously, has to go off to
3 a site, off-site to get a follow up test to confirm it
4 is a positive test.

5 Would it be a burden? Yes. But, I don't
6 see anyway around it. And I'm sure the trucking
7 companies that went through it probably argued the
8 same issues.

9 MR. SEXAUER: I want you to know that we
10 appreciate your thoughtful comments.

11 MR. GALLICK: Thank you.

12 MR. MACLEOD: I have a question. You had
13 mentioned that the regulations ought not to define
14 possibly, what drug you need to test for, for all
15 sorts of good reasons; that variations of the universe
16 are of course, different, you know, cultural needs and
17 usage of drugs. Also, with random drug testing what
18 is random? I mean random can be once every twenty
19 years, once every week, you know. My question is do
20 you think the federal government in writing a
21 regulation should have maybe a basic minimum standard
22 of let's say, things to test for, as just a baseline,
23 and maybe some notion as to what random might be?
24 Because I can see leaving it wide open, you know,
25 random becomes just that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. GALLICK: That's a good point. My
2 assumption when I said random testing was a percentage
3 of people, -- a percentage of your employment, tested
4 each year, and a number of tests per year, sort of
5 being divided out by the number of people you would
6 have. So, random would be annual with some number
7 percentage based on your total employment.

8 As far as the drugs, my concern there any
9 regulation could say at a minimum, these are the drugs
10 tested. But, what I saw on the CDL, and I read a lot
11 about it, was that for instance, there's only the five
12 drugs. And frankly, when we put our first programs in
13 we followed the CDL and we found out from some people
14 at Gambit (phonetic) Labs, you know, the people that
15 do the testing, that gee, you're missing the drugs of
16 choice. And we said, no, no, we're doing CDL. And
17 they said well, they don't cover it. We're miners,
18 we're not as knowledgeable. And so that's my only
19 caveat there, is if you put any minimums in do not let
20 us slide where that's the only program that's
21 acceptable.

22 One of the concerns of a lot of our
23 affiliates was we are testing at a high level program,
24 don't let us water it down below where we're at. So,
25 we want to lift the ship up a little bit, but not pull

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this end of the ship down. That's my only concern, --
2 or one of my concerns.

3 MR. MACLEOD: Do you require the
4 contractors who work on your property to have programs
5 such as your own?

6 MR. GALLICK: Each affiliate operates
7 differently. Each one of our affiliates have
8 different rules. I am not sure any of them require
9 the contractors. I do know that contractors do do the
10 testing. I would have to check to see if anyone has
11 a, -- in the contract they sign with contractors, a
12 requirement for drug testing. I'm not totally sure of
13 that.

14 MR. MACLEOD: Thank you.

15 MS. SMITH: Any more questions of Mr.
16 Gallick? Elena.

17 MS. CARR: You made a case for why you
18 felt like probable cause defined as post-accident,
19 reasonable suspicion, does not work very well. You
20 spoke more about the post-accident, given that the
21 window of being able to determine what's a reportable
22 accident sometimes make the drug testing moot. What
23 is your experience with reasonable suspicion, and what
24 gets in the way of that being an effective approach?
25 Particularly, does training feed into that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. GALLICK: For the purpose of my
2 discussion I put for cause testing both as reasonable
3 suspicion and post-accident. Some people would divide
4 them out and say there are six different ways of drug
5 testing, pre-employment, reasonable suspicion, post-
6 accident, random, return to work, -- and I'm missing
7 one other one, poor performance, you know, the
8 absenteeism and whatnot. My concern was two-fold.
9 What I've seen on reasonable suspicion, speaking of
10 only that, is
11 that, -- as a practical matter I'll speak first, and
12 then a regulatory matter.

13 As a practical matter it is difficult, no
14 matter training you get; and we have given our foremen
15 training, I have gone to training, and I'm sure all
16 you people have had at least some training on
17 recognizing impairment behavior or recognizing poor
18 performance as possibly drug use and all that. But
19 yet, I have seen time and again, where we have missed
20 that and we are surprised when an employee was
21 positive in some manner. We find out later
22 anecdotally, either they've quit, they've been
23 discharged for other reasons, whatever, and you say I
24 never thought that he had a problem. It's very
25 difficult for a supervisor to recognize anything but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the real obvious, very obvious impairment.

2 I believe when alcohol was a major, -- as
3 you can tell by my age, I went through the '70(s) and
4 '80(s) as a mining person, and when alcohol was a
5 driver, most of the impaired people who came in with
6 alcohol, you could smell it. You had some fairly
7 simple testing mechanism to say boy, he smells like
8 he's been drinking and whatever, and you could react
9 to it. Those reasonable suspicions were fairly easy
10 to do. In today's world, or at least from what I see,
11 our foremen are not, -- no matter how many classes we
12 give them, they are not going to see somebody who's
13 marginally at issue.

14 From a regulatory standpoint my concern is
15 once we start saying reasonable suspicion is part of
16 the testing protocol every failure to identify
17 somebody, becomes an argument between us and the
18 Agency. "How could you not have noticed this? Well,
19 he looked okay to me. Well, you know, obviously, we
20 did a post-accident test and he tested at da, da, da,
21 for cocaine." You know, that type of thing. That's
22 my concern.

23 I would rather not get wrapped around the
24 actual debate on who should be tested. And that's why
25 I thought random and pre-employment, -- pre-employment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is real plain, before you start work you get a drug
2 test. If you can't pass that one, you're probably the
3 worst employee. Random lets everybody know that there
4 will be tests done and it will be done across the
5 board. And it can be done very mechanically so that
6 it makes it somewhat cookbook for both you as a
7 regulatory agency, us as the implementors, and the
8 workers knowing what is going to happen. It's a
9 simple system.

10 For cause, we have had numerous debates
11 over for cause, where somebody, as I said, -- I used
12 the term botched, or in hindsight after an event
13 someone says gee, we should have tested that. Well,
14 we didn't think of it at the time. Okay, you know,
15 that concern.

16 I believe every internal program should
17 have for cause, reasonable suspicion, all those terms
18 should be in your internal program. Your regulatory
19 programs should just include random and pre-
20 employment. Did I answer that?

21 MS. CARR: Yes.

22 MS. SMITH: Mr. Gallic, as a follow up,
23 earlier in your remarks you talked about random
24 testing and your evaluation seemed to be that its
25 benefits did shows folks either quit or they self-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identify to the EAP they have a problem, or they got
2 clean. Do you have some analysis information or data
3 on that, which sounds like a very positive trend, that
4 you could share with us for the record? Maybe not
5 today, but something you could provide to us. Because
6 it sounds like a positive kind of reaction that you're
7 getting from this program.

8 MR. GALLICK: I'll follow up with our
9 affiliates that have the random testing. In our
10 discussions about the issue I was told by them that
11 the, -- I call it the class of the good programs,
12 where you did the education and followed up with a
13 random system, we had a very low positive. Other
14 systems where we've had the random we've had, I'll say
15 several or a number of people failed their test, but
16 at each subsequent sequence it dropped until we were
17 down to zero or near zero. And I think that's a
18 success. I'll look for those numbers. I'm sure they
19 have the numbers, I don't have them.

20 MS. SMITH: That would be helpful
21 information for us. Thank you.

22 MR. AUTIO: You had some question on the
23 metal and non-metal?

24 MR. GALLICK: Yes. I was really curious
25 about the 78 violations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. AUTIO: I think one of the questions
2 about do we have a testing requirement in metal and
3 non-metal. We don't.

4 MR. GALLICK: Okay. Do you require a
5 plan?

6 MR. AUTIO: No sir.

7 MR. GALLICK: Okay. I thought maybe
8 that's where the violations came from?

9 MR. AUTIO: Most of the violations would
10 be observations. And in my experience most of them
11 are alcohol-related.

12 MR. GALLICK: How many people would be
13 observed?

14 MR. AUTIO: Well, it's usually finding
15 alcoholic beverages on the mine site is probably most
16 of the violations.

17 MR. GALLICK: Okay.

18 MR. SEXAUER: As we proceed, -- assuming
19 we will proceed with some kind of a proposed rule,
20 we'll probably elaborate on that information, do a
21 little more detailed presentation, or add information
22 as we proceed.

23 MR. GALLICK: Okay.

24 MR. SMITH: I had a question about the
25 for cause testing, when you were stating some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pitfalls we want to be aware of, in your opinion.

2 What if the rule required that for fatal accidents?

3 MR. GALLICK: I've always assumed that
4 MSHA got an autopsy report which would include
5 toxicology results. I have never seen them, -- let me
6 rephrase that. Fortunately, we have not had, -- well,
7 let me rephrase that. Over my lifetime of work I have
8 had a number of fatal accidents in some relationship
9 to where I've worked and we've always had an autopsy
10 of some sort done by a coroner. Generally directed
11 not by us, but by the organization, the county
12 organization, and those results, you know, although
13 they were long after the 7000-1 Report was completed
14 by us, the results did come forward. I assumed that
15 MSHA would get this. Have the same access and same
16 ability to get that information without a new
17 regulation. Am I incorrect?

18 MR. SMITH: Those tests are not always
19 performed in every situation. It depends on the
20 location, the state, the county. But that's not
21 always performed. If it's done we are able to get it.

22 MR. GALLICK: Okay.

23 MR. SMITH: But I was just wondering what
24 your thoughts were in terms of the fatal accident
25 situation, whether that would be in your opinion, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 good provision to place in the rule?

2 MR. GALLICK: Well, I wouldn't have a
3 problem with that, I guess.

4 MR. SMITH: Okay.

5 MR. GALLICK: You know, I guess that's
6 one that we all ought to know what the situation is.
7 The more information we have on that accident the
8 better off we are. So, I wouldn't have a problem with
9 that. I just assumed you all got it.

10 MR. SMITH: Okay.

11 MS. SMITH: Any other questions for Mr.
12 Gallick? Elena.

13 MS. CARR: One more. You mentioned that
14 all of your affiliates have Employee Assistance
15 Programs, which is commendable. Does that go for the
16 smallest, as well as the largest? And if the smallest
17 have them, I often hear that for small operators it is
18 a financial burden to have the EAP, so many chose not
19 to. How do your affiliates normally handle that? Do
20 they just pay for it or do they group together to
21 purchase services?

22 MR. GALLICK: Each EAP Program is
23 handled by the individual affiliates or some of them
24 are combined together. Some of the smaller operations
25 report to one organizational group. Not necessarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Foundation Coal, but some other intermediate grouping.

2 The type of EAP Program varies. Some of
3 the operations have, -- they all have a confidential
4 thought, obviously, EAP driven. And some of the
5 initial visits are covered through the EAP Program,
6 and then follow up visits are covered, at some point,
7 through your health coverage or whatever type
8 coverages you have in your system. Those require some
9 expense by the employee as well as the employer. Some
10 of our operations have several visits, -- the first
11 several visits are paid for by the company in an
12 anonymous type of way. We have just always believed
13 that EAP Programs are raking up a basic service that
14 you need to have as an operator.

15 MS. SMITH: Mr. Gallick, thank you very
16 much for your comments this morning. And if you do
17 have additional follow up written comments we would
18 like to have them and November 27th will be our
19 deadline for those.

20 MR. GALLICK: We intend to submit brief
21 comments as well as today's work. Thank you very
22 much.

23 MS. SMITH: Thank you. We don't have
24 anyone else signed up to give official comments at
25 this point in time, but is there anyone in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 audience who would like to make comments now? Yes
2 sir.

3 MR. OWEN: Good morning.

4 MS. SMITH: Good morning.

5 MR. OWEN: David R. Owen, representing
6 the UMWA. I would like to say first of all, that the
7 UMWA, -- the United Mine Workers are well aware of the
8 problem. We have no problem with drug testing, we
9 want to make sure that it is done above board. The
10 testing needs to be across the board.

11 The random testing, the National Labor
12 Relations Board has ruled that that is a negotiable
13 item. And we do have a problem with implementing, in
14 the middle of a contract, a policy that has not been
15 addressed, and our questions and our concerns have not
16 been answered. Some of our concerns are that if you
17 implement a drug policy and you really and truly want
18 a drug-free workplace, it's going to have to include
19 legal drugs as well as illegal drugs.

20 There's a policy out there where they have
21 a tendency they want to cut down on our Workmen's Comp
22 and everything else, they send you to their doctor,
23 they send you back to work automatically. It does not
24 matter what you're on. It does not matter what your
25 ailment is. We've had people sent back to work in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mines with casts all the way up to their groin. And
2 the same underground, we've had people underground
3 with crutches. Now, they didn't last long, we got
4 them out of there, but they are abusing the Workmen's
5 Comp. They are abusing the drugs in order to save on
6 their Workmen's Comp.

7 We are looking for you to regulate, but we
8 want it across the board. And it's very imperative
9 that the treating physician that issues those drugs,
10 has the say whether they hinder your ability to
11 perform the work. You've got to stop the practice of
12 sending them to their doctor, which overrides yours,
13 and sends you back to work.

14 We have people that are out there right
15 now that are wearing, -- one individual anyhow,
16 wearing a morphine patch and taking Vicodin on a daily
17 basis.

18 Now, I have concerns over this. It's not going to
19 matter to my wife or my family, whether the person
20 that runs over me or cripples or maims me, it's not
21 going to make any difference at all whether he was on
22 legal drugs or illegal drugs. If he's on drugs he
23 does not need to be there. And this is our main
24 concern, that everything is on the up and up and that
25 it's done properly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As far as the random testing we do have a
2 problem with that, because it does not give us a
3 chance to negotiate and get in there with the
4 protection we need. What do you do in the case of a
5 false positive? What alternatives do you have? You
6 need on-site testing to where it gives you an
7 immediate reading, whereas if you know that you
8 haven't had a problem, -- and you do talk, because
9 there's a lot of over-the-counter drugs out there that
10 will give false positive readings. We need the
11 opportunity to counterfeit (sic) their initial test.
12 But, if this is sent to the lab and we don't know
13 about for three or four days later, or three or four
14 weeks later, we do not have that opportunity. And
15 this is some of our concerns, and they need to be
16 addressed.

17 MS. SMITH: Thank you, Mr. Owen.

18 THE COURT REPORTER: Could you spell your
19 last name, please?

20 MR. OWEN: Owen; O-W-E-N.

21 THE COURT REPORTER: Thank you.

22 MS. SMITH: Questions of Mr. Owen?

23 MR. SEXAUER: Just a simple fact
24 question. Typically, if there is a drug test
25 conducted, let's just say a random drug test or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whatever, and initially there is some indication that
2 there might be some drugs in them, what typically
3 happens at that point? The miner is then not
4 permitted to work until there is confirmation?

5 MR. OWEN: At our facility they just
6 implemented a plan. First of all, -- and this is some
7 of our concerns also. The random testing, they call
8 it random testing and it will be ran twice a year. It
9 could be two months, it could be January, February, or
10 it could be July, somewhere in that period. They are
11 only allowed twice a year. But, they start out by
12 excluding a big percentage of their employees. If
13 it's going to be random, and truly random, it's all
14 employees, salaried as well as hourly. It has to be.

15 They want to exclude their supervision, --
16 supervisors, their management team. These people, --
17 and they name these as credible people, but they want
18 to exclude them. They want to give them once a year,
19 with a two month notice. This is not right. This is
20 some of our concerns, and this is why the UMWA is
21 against random testing.

22 We need something that's uniform. We need
23 something that's going to address all employees and
24 all drugs, legal as well as illegal. Did that answer
25 your question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SEXAUER: That's good.

2 MS. SMITH: I have a follow up, Mr. Owen.
3 You indicated you had a problem with random testing
4 because it does not offer you the opportunity to build
5 in the kind of protection that you would view
6 necessary if there was a random testing program. What
7 are some of the examples of those kinds of protection
8 and criteria you envision?

9 MR. OWEN: Well, take a false positive.
10 And it does happen, Ibuprofen sometimes gives you a
11 false positive. There are several over-the-counter
12 drugs that are out there, -- say I've got a headache
13 and I
14 don't, you know, I don't get them very often, but say
15 I have one on Sunday night and I went in Monday
16 morning. I got up that morning and I took that
17 Ibuprofen and they randomly select me. They give me a
18 test, they send it off and three weeks later it comes
19 back. Well, it's out of my system by now, and this is
20 the problem that I've got. It's out of my system by
21 now, but how do I counteract this? I know I haven't
22 been doing any drugs, so what safeguard have I got?

23 We need a test that is done on-site to
24 where it will show you immediately whether you're
25 either positive or not positive. Now, if you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 positive, then that gives you the opportunity to go to
2 your own doctor and have whatever test done that's
3 necessary to counteract this. With the policy they
4 have in effect you have none of that. You have
5 nothing whatsoever. If you test false positive you
6 get thirty days off, automatically, with random
7 testing, at they're every whim, whenever, for three
8 years. No ifs, ands or buts. If it happens again, --
9 we can see this being used as a tool to target
10 employees. And this is something that we are looking
11 for you people to regulate and help us.

12 MR. SEXAUER: Would it be helpful if a
13 third party conducted the random drug testing?

14 MR. OWEN: It would be helpful, yes.
15 But, another concern of ours with the plan that has
16 just been implemented, is the same doctors that they
17 are using to circumvent the Workmen's Comp issue, is
18 in charge for the drug testing. This, we have
19 concerns with. We have great concerns. We have asked
20 for them to use someone else, an independent, and we
21 have been refused.

22 MS. SMITH: Other questions of Mr. Owen?

23 [No Verbal Response]

24 MS. SMITH: Mr. Owen, thank you very
25 much. We appreciate your comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. OWEN: Thank you.

2 MR. SEXAUER: Mr. Owen, I'm sorry, did we
3 get the mine that you work at?

4 MR. OWEN: Freeman United.

5 MR. SEXAUER: Freeman United. Thank you.

6 MS. SMITH: Anyone else like to offer
7 remarks this morning?

8 MR. SCHWARZ: My name is Leonard Schwarz.
9 I am the Safety Director and Drug-Free Workplace
10 Coordinator for Fred Weber, Incorporated.

11 We started our drug-free Workplace program
12 for our materials personnel in 2001. We started with
13 a pre-employment, even though they were employed at
14 the time. We had a few people who failed; of the 116
15 who did the pre-employment, there were a number that
16 failed.

17 We do not have a substance abuse program
18 for people who test positive, but we give them
19 referrals. In most cases whatever system they need is
20 provided through the Union or their, -- if they have
21 medical insurance if they are non-union employees. We
22 include supervisory as well as non-supervisory people
23 in our program.

24 We do a quarterly random. We do post-
25 accident, post-incident drug testing, -- drug and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alcohol. We do pre-employment. We also do a
2 reasonable suspicion and a promotion drug test. If
3 one person is going from one level to the next, they
4 are drug tested.

5 Of the positives we have had in the
6 company two no longer work for us, at their choice.
7 The remaining people went through a program, completed
8 it, came back to work and are still working for us.
9 And everyone of those individuals approached me,
10 representing the company, and thanked me for the drug
11 program. The fact that they knew they had a problem
12 and in some cases family members had encouraged them
13 to do something, but when they were faced with the
14 reality of losing their job they knew that it was time
15 to do something to enhance their lifestyle.

16 We feel we have a very successful program.

17 In fact, the six personnel in our Safety Department
18 are all certified and trained drug collectors. We do
19 our own randoms. We do our own pre-employment. We do
20 our own reasonable suspicion, in the field. We cannot
21 have a positive test in the field. We can have a
22 negative, as you know, which everyone wants a negative
23 drug test, or we can come up with an inconclusive. If
24 we have an inconclusive in the field we run a second
25 test to document that our device that we did the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 testing with was not flawed. And if we get a second
2 inconclusive then we offer the employee the option of
3 going to a third person and submit another drug test,
4 or we can send the specimen into a certified lab for
5 assessment. And in most cases they opt with the
6 latter, where we seal the split specimen under their
7 observation and send it into a certified lab for
8 documentation of the test, whether it is negative or
9 positive.

10 We feel that it has been very successful
11 in the fact that if we do field testing the person
12 goes back to work immediately if it's a negative. If
13 it's an inconclusive and we send the specimen to a
14 lab, of course the employee is off work until we get
15 the results back. If the results come back positive
16 then we give them a resource to get into a program
17 which they must do and complete, to be eligible to
18 come back to work for us, or they go about their way.

19 The drug test results that we administer
20 and send into the lab we normally have about a two day
21 turnaround. And if that drug test is sent in and it
22 comes back positive, then the employee is paid for
23 that time when he's off work.

24 The only time we may have a four or five
25 day delay in getting the results back is if the lab

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has to advance the specimen to an MRO, Medical Review
2 Officer, and that MRO has to call the employee, due to
3 the fact that the employee may be on some type of
4 medication or verification why the test is
5 inconclusive. We don't know that is happening. We do
6 not communicate with the lab or the MRO until we get
7 our results. And that's because of the privacy of the
8 employee and the Medical Review Officer.

9 We haven't had any problems from any of
10 the Unions. They all have a copy of our program. It
11 costs us quite a bit of money to administer this type
12 of program, but we feel it's necessary if you're going
13 to have a drug and alcohol free workplace.

14 I see the only problem, and if I can
15 inject my thoughts, is if MSHA would decide to, -- or
16 the Department of Labor would decide to administer a,
17 -- or mandate a program for all employers, would be
18 the administration of the enforcement. How do you
19 enforce something like this?

20 We are going to continue with our program
21 irregardless of what comes out of this hearing.

22 MS. SMITH: Do you have a regular
23 evaluation of your program results that you do on a
24 yearly or some other cycle basis?

25 MR. SCHWARZ: We have an on-site

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 administrator out of Southern Missouri. Our training
2 personnel in our Safety Department do not do DOT
3 because of the federal standards, the regulations
4 imposed in that. So, they come in and do our DOT drug
5 testing. We review our program quarterly with this
6 service and we found that we've had quite a bit of
7 drop in our positives over the years.

8 Each quarter we do 12.5 percent of the
9 employees who are working for drugs. And we do 2.5
10 percent for alcohol. We've mirrored our program after
11 the Department of Transportation. The randoms are
12 selected by a third party. We submit a list of
13 present employees who are working, send it away, and
14 they send us back a selection for the random; 12.5
15 percent for drugs and 2.5 percent for alcohol.

16 MR. SEXAUER: May I ask you do you have
17 any data that you could share with us that would
18 indicate a measurable improvement as a result of your
19 program?

20 MR. SCHWARZ: I can tell you that our
21 numbers are below the national standard for positives.
22 Quite a bit lower.

23 MR. SEXAUER: The company that you're
24 with, Fred Weber, Inc., that is a mining, --

25 MR. SCHWARZ: Part of the, -- we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over a hundred employees, -- I'm sorry, over a
2 thousand employees, approximately three hundred and
3 fifty are in the mining industry.

4 MR. SEXAUER: Okay. If there was one
5 thing that you would recommend to us, something we
6 could do to help the situation out there, would you
7 have any specific suggestions for us?

8 MR. SCHWARZ: If you are going to
9 implement a regulation saying that every employer in
10 the mining industry has to have a drug-free workplace
11 policy, I think it's going to be necessary for some
12 type of follow up to ensure that that's being adhered
13 to. And I would say that's where your, -- in my
14 opinion, that's where your problem is going to be.

15 As I said, we have our program and we are
16 going to continue it because we think it's something
17 we believe in, something our employees believe in,
18 because they want to make sure that they have a drug-
19 free workplace to work at. I think there's a need for
20 some type of regulation in the mining industry. I'm
21 not sure what it is. I think the biggest problem is
22 to make sure that people are doing what the regulation
23 calls for.

24 MR. SMITH: You mentioned that you have a
25 post-accident/incident testing program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SCHWARZ: Correct.

2 MR. SMITH: I would like for you to
3 elaborate on that and how that works. Who gets tested
4 and what type of accidents?

5 MR. SCHWARZ: Anytime an employee is
6 injured and they need outside medical care, we do a
7 drug and alcohol test. Ninety percent of the time
8 it's administered by the trained certified personnel
9 in the Safety Department. And the reason we do that
10 is because of the cost incurred with doing it at a
11 facility or us doing it in the field. Our policy also
12 says that if an employee reports an injury and they
13 decline medical attention, it's classified as a
14 significant injury, being a back strain, sprain or
15 something like that, where they don't necessarily need
16 outside medical care at that time, we also do a post-
17 accident drug test.

18 We have found or suspect, that people are
19 reporting accidents as they should be and decline
20 medical attention until the time is good for them to
21 go, because they know they are going to be drug tested
22 when they get to the facility. So, we wrote into our
23 program that if you're reporting what we classify as a
24 significant injury, you know, sufficient amount of
25 blood loss, sprain, strain, you will be tested at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time you report it.

2 Post-incident, if we have property damage
3 to some of our equipment or someone else's equipment
4 on the site, or in the case of our construction where
5 we do heavy highway construction, we damage somebody's
6 automobile that's driving by, the participants in
7 that, our employees who are involved in it, get what
8 we call a post-incident drug test. And we tell our
9 employees that it is for their protection as well as
10 the company's, due to the fact that the environment
11 nowadays where everybody seems to be suing everybody.

12 And I've been in quite a few court cases where the
13 issue of the employee being drug-free at the time of
14 the incident becomes a question. If we can lay that
15 question to rest, then it goes away. If we can't
16 positively respond to that question, then it remains a
17 question, and doubt sometimes in the jury or the
18 judge's mind. So that's why we do that.

19 MR. SMITH: Thank you.

20 MR. AUTIO: Are you using a screening
21 test or saliva?

22 MR. SCHWARZ: No. We use the urine
23 specimen for drugs. There's quite a few on the market
24 nowadays. We've got what they call an eye cup, we've
25 got them down to about 550 per, so we are trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 control the cost. Our major cost is the involvement
2 by the Safety Department personnel who administers the
3 test. And of course, the employee who is not working
4 at the time the test is administered. It gives you a
5 reading of temperature, as well as a reading of the
6 five drugs that we check for, whether it's negative or
7 inconclusive.

8 When we administer a test we ensure that
9 we get enough quantity so if it is inconclusive we can
10 send it into a lab for a split specimen. The need for
11 the split specimen is if I have a inconclusive and I
12 send the specimen into a lab, if the first specimen,
13 which is a half of the old specimen tests positive,
14 then the employee has 72 hours to request that that
15 second half of the specimen be retested or sent to
16 another lab of their choosing to confirm the first
17 positive. And no one has ever done that since 2001.

18 MS. SMITH: Elena, you had a question?

19 MS. CARR: Yes. You mentioned that you
20 test for five drugs.

21 MR. SCHWARZ: Yes ma'am.

22 MS. CARR: Do you follow the DOT type
23 protocol?

24 MR. SCHWARZ: Yes ma'am.

25 MS. CARR: And secondly, just as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clarification. When you were saying that individuals
2 whose tests was inconclusive in the field and you send
3 them for further review, perhaps, with the Medical
4 Review Officer for a confirmation test, and they test
5 positive, do you pay them for the time off if they
6 test positive?

7 MR. SCHWARZ: No ma'am.

8 MS. CARR: If they test negative?

9 MR. SCHWARZ: If they test negative we
10 pay them for the time they were off. If they test
11 positive then we give them a resource to go to, in
12 case they want to become eligible to return to work.

13 MS. CARR: And you do allow them the
14 option not only of the retest of the split sample, but
15 also to go to a separate facility to get their own
16 drug test?

17 MR. SCHWARZ: Once the original specimen
18 is drawn that is the specimen we work off of. The
19 only option is if we have a specimen in the field and
20 it's inconclusive, the employee has the option to go
21 to a third party and present another test, but that
22 has to be done within three hours.

23 MS. CARR: Okay.

24 MR. SCHWARZ: The DOT guidelines. In
25 other words, if I test a person at 1:30 in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 afternoon, it's inconclusive, they have until 4:30 to
2 submit another specimen at the lab, -- or not the lab,
3 the facility, SSM or whoever.

4 MS. CARR: Within three hours?

5 MR. SCHWARZ: Yes ma'am.

6 MS. SMITH: I just had a final question,
7 Mr. Schwarz. You mentioned that your costs for your
8 program administration are relatively high, but
9 believe that it's worth the cost. If there is any
10 information that specifically you could provide to us,
11 maybe for the written record afterwards, and you care
12 to provide how your costs breakdown, that would be
13 helpful.

14 MR. SCHWARZ: I could do that.

15 MS. SMITH: Okay. That would be helpful.
16 We appreciate that.

17 MR. SMITH: I would like to ask you about
18 the, -- once again, going back to the post-accident
19 incident testing. What have your results been in
20 terms of accidents, incidents and percentage of those
21 that tested positive?

22 MR. SCHWARZ: We did ninety-seven of what
23 we call post-accident, post-incident tests this past
24 year, January through the end of September, of those
25 ninety-seven we have had one positive.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SMITH: One?

2 MR. SCHWARZ: Yes sir.

3 MR. SMITH: Thank you.

4 MS. CARR: One more. I'm sorry.

5 MR. SCHWARZ: That's all right.

6 MS. CARR: Again, in terms of your
7 measuring the success of your program, I was just
8 wondering since 2001 when you implemented have you
9 seen any corresponding improvement or decrease in
10 accidents or injuries on the job?

11 MR. SCHWARZ: Well, it's kind of hard to
12 measure because the company has grown. You know, we
13 have more equipment, we have more employees. Again,
14 our average is below the national average. Our
15 positives are below the national average.

16 I think our employees are more open about
17 drugs now. You know, when we first implemented in
18 2001 it was kind of a, -- I mean it wasn't what's
19 going on? How come they are doing it? I think the
20 fact that it was a topic nationwide, drugs and alcohol
21 in the workplace. But when you come around to do a
22 random now, it's not why do I have to do this? It's
23 done, and that's it. And in most cases they don't
24 mind because they know that they don't do drugs or
25 alcohol.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. SMITH: Thank you, Mr. Schwarz. We
2 appreciate your comments. Thank you very much.

3 MR. SCHWARZ: Thank you.

4 MS. SMITH: Anyone else like to offer
5 comments?

6 MR. CAMPBELL: My name is Wesley, T is
7 the middle initial, the last name is Campbell; C-A-M-
8 P-B-E-L-L. I am the Human Resource Specialist for
9 Monterey Coal Company.

10 Monterey Coal Company is a subsidiary of
11 Exxon Mobil Corporation. We are located in south
12 central Illinois. We have about 270 wage employees
13 that are represented by the United Mine Workers, plus
14 70 salaried employees. As you are probably aware,
15 when the Exxon Valdez ran a ground in late 1989, that
16 was the beginning of Exxon Mobil's drug and alcohol
17 policy. We adopted it at the Monterey Coal Company
18 immediately. The Union really had a lot of opposition
19 in the beginning, but basically Exxon Mobil said if
20 you work for us, this is our property and this is a
21 condition of employment.

22 Currently we have pre-employment testing.
23 We have random testing for salaried employees in
24 designated positions. We have post-incident testing
25 for all employees and we have for cause testing or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasonable suspicion testing for all employees. We
2 have a contractor alcohol and drug program for
3 contractors that are performing safety sensitive work
4 at our site.

5 We have an Employee Health Assistance
6 Program called Rehab, which is available to all
7 employees regardless of wage or salary. People can
8 use that program ahead of time as they seek help for
9 drug dependency, alcohol problems. They cannot use
10 that as a crutch if we test them and they turn up
11 positive, they can't come back and say well I need
12 help. At that point it's too late.

13 As Mr. Gallick spoke, the average age at
14 our mine is about fifty-two years old. The history
15 back in the '70(s) would indicate that probably the
16 most likely problem that mining had then was alcohol.

17 Today, I believe it's more prescription drugs. It's
18 drugs that people are taking for pain that they've
19 developed over thirty years of heavy work. And
20 oftentimes you cannot detect that either by odor or by
21 a person's actions.

22 We conduct unannounced searches for
23 contraband material like weapons, knives, alcohol,
24 drugs, prescription drugs, that are not issued to the
25 employee. Those are usually done once or twice every

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two years.

2 And personally, I believe a company should
3 be allowed to have testing in the workplace, because
4 today there is just too much liability at stake. And
5 statistics do show that people are taking more and
6 more over-the-counter drugs, drugs that are
7 questionable as to how they affect a person in the
8 workplace. The mining industry is a dangerous place
9 to begin with. Not only do people take prescriptive
10 drugs that they have to take at certain times, but
11 because mining requires a twenty-four hour operation
12 oftentimes people miss the time they are suppose to
13 take the dosage, because of the shift work, they're
14 working ten, twelve hours a day, seven days a week.
15 Without some type of controls in place to test people
16 companies run a big risk of catastrophic events,
17 fatalities, injuries.

18 We take our employees to a local facility
19 for post-incident testing or for cause. The test is
20 administered by the lab department at the local
21 hospitals. We have a company representative meet them
22 there to identify who they are, because oftentimes
23 they don't have a driver's license with them if they
24 were underground and they were injured. And a company
25 person also ensures that all the steps of their drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 test has been followed; that the employee signs and
2 initials all the steps of the drug tests, and
3 witnesses everything up to the point that it is sealed
4 and boxed up for shipment. If it's a post-incident
5 test and we do not suspect alcohol or drug use, that
6 employee is allowed to return to work, if they are
7 released. However, if it's a for cause test or
8 reasonable suspicion, and we do suspect that their
9 behavior was apparent, they remain off work pending
10 the results of the test. The test could be three to
11 five days. And at that point, once we are notified,
12 then they're notified.

13 This policy, like I say, has been in
14 effect since 1989. Since then we've had three
15 positive post-incident tests, we've had two positive
16 for cause tests, and two positive randoms. So, it
17 does indicate that in the workforce there are issues
18 and without the drug test in place we probably would
19 not have identified these folks. Questions?

20 MS. SMITH: What do you think MSHA could
21 do to help the mining industry with this problem?

22 MR. CAMPBELL: That's a good question. I
23 was going to ask a question of Mr. Autio. The metal
24 and non-metal industry has a regulation, and I think
25 you said there were 78 violations of that. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guess one of the things that struck me was were all
2 those citations issued to the operator?

3 MR. AUTIO: Yes.

4 MR. CAMPBELL: Which I suspect they were.

5 But I guess that until we reach a point that we hold
6 the employee accountable, I don't think we're going to
7 have a lot of progress. And I don't know how you do
8 that. I mean right now if you found alcohol in an
9 employee's vehicle and cited me as the operator, I
10 don't know how that is going to change his behavior,
11 unless I know it ahead of time and I discharge him.
12 But, that's after the fact. I mean we need to do
13 something pro-actively and until we hold them
14 accountable I don't know how we do that.

15 MR. SEXAUER: So, what I'm hearing is
16 that if we were to, let's say scuttle that regulation
17 and replace it with another regulation that says
18 random drug testing in some form, that would not
19 reduce protection to the miners, but actually could
20 increase protection at the workplace? I'm not saying
21 we're going to do that, I'm just saying
22 hypothetically.

23 MR. CAMPBELL: I think it would probably
24 have more of a positive effect than just a regulation
25 that penalizes companies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SEXAUER: Can you recap for me, --
2 let's see, your drug program has been in place, --

3 MR. CAMPBELL: Since 1989.

4 MR. SEXAUER: -- since 1989. And since
5 1989 you've had a total of how many positives?

6 MR. CAMPBELL: We've had three positive
7 post-incident tests, two positive for cause and two
8 positive randoms. And bear in mind randoms for
9 salaried people too. We don't do random testing for
10 wage employees.

11 MR. SEXAUER: Okay.

12 MS. SMITH: And that's because of your
13 Union contract?

14 MR. CAMPBELL: It probably has a lot to
15 do with it. There is a lot of resistance.

16 MS. SMITH: Okay.

17 MR. SEXAUER: We are interested in
18 hearing more about this issue of prescription drugs
19 and the impact in the mining industry. I don't have a
20 specific question, but if there's anything you can
21 elaborate on.

22 MR. CAMPBELL: Well, that's a difficult
23 situation. Like I said, our wage force the average
24 age is about fifty-two. In the last five years we've
25 seen a big increase of carpal tunnel surgery, torn

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rotator cuffs, knees and backs. And most often
2 doctors try and treat those first without basic
3 surgery. And they will do that with medication and
4 therapy. What's hard is to know what people are
5 taking, unless they come forward and tell you. And
6 then the other part of that is are they taking it as
7 prescribed, at the right time?

8 What we try to do, if we have employees
9 who seek treatment or has surgery, and the doctor has
10 prescribed Hydrocodone or Oxycontin; usually they will
11 prescribe two, they'll do a narcotic medication for
12 pain and they'll do a non-narcotic. And apparently
13 what they do, -- what we find, is they will say take
14 the non-narcotic to drive to work, to work on, and
15 take the narcotic when you're sleep, when you're at
16 home. But, what we've found is that a lot of people
17 have so much pain that the non-narcotic doesn't do it
18 for them. And we've had cases where guys have showed
19 up for work and they've said, oh by the way, I took
20 Hydrocodone this morning before I came to work. That
21 employee would not be allowed to work, unless he went
22 through our Case Manager, who is in Houston. We would
23 link that person up with them or their doctor, and
24 come to an agreement about what type of work that
25 person could do. They definitely could not do safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensitive work, which would be any work underground,
2 around heavy equipment, machinery.

3 We have had occasions where they've been
4 instructed to take the non-narcotic to get to work,
5 and then once they get to work they can take the
6 narcotic pain medicine, provided that they are given
7 desk duty or sedentary duty. And then there's a
8 period of time that they cannot take it before they
9 leave and drive home. But those cases are all managed
10 through our Health Department.

11 MS. SMITH: Is this Case Management
12 concept part of your program?

13 MR. CAMPBELL: It is. And it's an Exxon
14 Mobil medical staff person that we call and get
15 involved. We do not have an on-site case manager. We
16 did up until about a year and a half ago, but now we
17 refer everything to Exxon Mobil's Case Management.

18 MS. SMITH: Does Exxon Mobil have sort of
19 an over-arching criteria for the program, and then
20 subsidiary companies tailor as they choose to, or is
21 it mandated by the parent company?

22 MR. CAMPBELL: Yeah, it's mandated.

23 MS. SMITH: If you would care to share
24 that program with us, we would like to take a look at
25 it, if it's possible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CAMPBELL: I would have to see what I
2 can share.

3 MS. SMITH: Sure.

4 MR. SEXAUER: You could also, -- if you
5 care to, you could also submit documents and indicate
6 they are proprietary, and then we would not disclose
7 those or put them in the record.

8 MR. CAMPBELL: Okay.

9 MS. CARR: You indicated that you do
10 probable cause and reasonable suspicion.

11 MR. CAMPBELL: Yes, we do.

12 MS. CARR: And we've heard some concerns
13 about those protocols. What is your experience with
14 reasonable suspicion? Have you had, --

15 MR. CAMPBELL: The way our reasonable
16 suspicion works if somebody suspects that a person is
17 impaired, either through smelling alcohol or behavior
18 they cannot explain, or behavior that is out of the
19 ordinary, they are instructed to get a second opinion
20 of a person, and have that person observe the
21 employee. And if those two agree that yeah, we think
22 something is suspicious, then we approach the person
23 and say is there an explanation for his behavior or if
24 we smell alcohol on their breath we will submit them
25 for a for cause test. And at that point, we remove

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them from the workplace. We do not allow them to
2 drive. We escort them to the medical facility and
3 remain with them for the test.

4 We use a saliva test to screen for
5 alcohol. If the salvia test is positive then we also
6 do the urine test. If they are positive, -- well, in
7 either case, if it's for cause, they are off work
8 until we get the results. If the results are
9 negative, we're paying them. If it's positive, then
10 they are terminated.

11 MS. CARR: And your supervisors have had
12 two positives, so you don't have as much resistance to
13 actually making that reasonable suspicion call?

14 MR. CAMPBELL: Well, it's met with
15 resistance, but there's really no choice. They are
16 entitled to Union representation, we don't escort
17 them. If the Union rep chooses to go to the facility
18 their pay stops when they leave the property and at
19 that point they are on Union business.

20 MS. CARR: I asked you about the
21 contractor resistance, -- the employees, but sometimes
22 supervisors themselves, are reluctant to make those
23 determinations and need obviously, a lot of training.
24 Do you do training?

25 MR. CAMPBELL: We do regular training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with both salaried and wage employees, a review of the
2 alcohol and drug program. With every new supervisor
3 we review the program, the for cause testing and the
4 procedures they should go through, and remind them
5 that it is their obligation to tell it to their
6 employees, and come forward if they suspect something
7 out of the ordinary. And oftentimes they don't. I
8 mean, I think, like Mr. Gallick said, the use of
9 alcohol really in the eight years that we've had it,
10 we don't see it that often. But that's the most
11 obvious one and that's pretty easy to detect. It's
12 the other drugs that you can't detect. And oftentimes
13 you can't see a behavior that would indicate a person
14 is over-medicated or if they are medicated. You know,
15 there is just as much danger with a person who should
16 be taking medication, who is not taking their
17 medication, as there is a person who is over-
18 medicated. So, you know, I support random testing for
19 everybody.

20 MS. SMITH: Thank you Mr. Campbell. We
21 appreciate your comments. Anyone else?

22 [No Verbal Response]

23 MS. SMITH: Since we have no other
24 indicated speakers at this point in time, I think we
25 will go off the record for about an hour, and then we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 will come back on the record and see if we have anyone
2 to come in late that would want to offer some remarks.

3 So, we will be back in about an hour. Thank you.

4 [Whereupon, at 10:52 a.m. the hearing was
5 recessed, to reconvene this same day at 10:54 a.m.]

6 MS. EMERSON: My name is Betty Emerson
7 and I'm here representing SAPA, that is the Substance
8 Abuse Program Administrator's Association. I am the
9 President of that organization. We are a group of
10 industry people, that would be medical review
11 officers, collectors, third party administrators, in-
12 house administrators, substance abuse professionals.
13 We are represented in all fifty states and Canada.

14 We have a formal answer to your Advance
15 Notice, which is in draft form. But basically, our
16 suggestions will be to follow the Part 40, which is
17 the Department of Transportation rule, obviously,
18 amended to what the mining industry is. But having
19 the mandated types of testing, the methodology to
20 mirror what the Department of Transportation has done,
21 and has worked. I think with a medical review officer
22 and a substance abuse professional, which is someone
23 who can either provide the treatment or send someone
24 to get treatment that they need, being it in-house or,
25 -- I started to say outhouse.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. SMITH: That's okay.

2 MS. EMERSON: But, at any rate, I think
3 the Department of Transportation if you look at their
4 statistics when they started testing of all the modes,
5 being it the railroad, the transit, the pipeline,
6 which is now a different MSHA, the travel motor
7 carrier, and the FAA, the Coast Guard, all of them
8 started testing at 50 percent drug and 10 percent
9 alcohol, other than the pipeline and the Coast Guard,
10 they don't do alcohol at random. And how the rule is
11 written is if your positive range is below 1 percent
12 for two years, then the rates drop. And I think the
13 deterrent factors around the testing is clear. The
14 airlines, -- the only two that are still at 50 percent
15 are transit and the Motor Carrier and I believe the
16 Coast Guard, because they aren't doing the alcohol.
17 Everyone else has dropped to 25 percent. It doesn't
18 mean, -- they still have the same amount of employees,
19 the railroad and FAA, it's just the deterrent fact of
20 the random testing has worked, because their positive
21 rates have dropped low enough that they can then lower
22 their testing percentage. So, I think that as far as
23 random testing I agree with the gentlemen who believes
24 in random testing. I think it works as a deterrent.

25 So, from SAPA's position we're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommend following a basic Part 40 outline, and then
2 adhering that their operations.

3 MS. CARR: One of the issues that was
4 brought up about the Department of Transportation reg
5 is the inflexibility of what drugs are tested for. As
6 administrators of these programs what is your
7 experience? Are those five drugs sufficient?

8 MS. EMERSON: What we hear at meetings is
9 that there's a few, the Oxycodone or the Oxycontin and
10 Ecstasy are huge issues. And I believe they are
11 issues that DOT is looking at. But I think the people
12 who have talked about the mysteries of prescription
13 drugs, that's huge. And in Part 40 there is the
14 provision that if you are on a drug you are to tell
15 your employer, and then that can be, -- if the
16 prescription can affect your ability to work safely,
17 then the medical review officer or the physician, can
18 try to have that drug changed so that you still can
19 perform your duties. But, we know that there are
20 issues of prescription medicine problems. Did I
21 answer that?

22 MS. CARR: Yes. So SAPA may support
23 following Part 40, even to the extent, --

24 MS. EMERSON: I think the basics of Part
25 40; that you're going to do your tests and you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to use urine or saliva, that type of
2 methodology; that you're going to use certified
3 laboratories; that you're going to have medical review
4 officers talk to someone who has a presumptive
5 positive or has refused a test, and that you're going
6 to have some type of a treatment. Even if you
7 terminate the person Part 40 says that you are suppose
8 to give them a list of substance abuse professionals,
9 so that you build that return to duty process in
10 there, so that someone that has tested positive and is
11 hopping from mine, to mine, to mine, without the new
12 employer knowing. That's another part of Part 40 that
13 is very efficient, in that going back two years in
14 someone's history as a miner, being allowed to do that
15 to the previous company. Perhaps they had a positive
16 and just blew it off and left, if you put into your
17 rule that checking previous employers for drug or
18 alcohol violations helps you as a new employer manage
19 that employee and keeps that hopping around where the
20 drug results are not following that person. A big one
21 is an intelligence test, anyone can clean up their act
22 and go right back to drugging. If you know that
23 they've had a positive, left someone, and you have
24 that as a history, as the Department of Transportation
25 does, that helps you better manager that employee and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 make sure that they are getting the help that they
2 need. Did I answer your question?

3 MS. CARR: Yes.

4 MS. EMERSON: So, we will be submitting a
5 formal response in just a draft. Any questions?

6 MS. SMITH: Thank you Ms. Emerson. We
7 appreciate your comments. Other second thoughts
8 before we go off the record for about an hour?

9 [No Verbal Response]

10 MS. SMITH: Okay. Thank you.

11 [Whereupon, at 11:00 a.m. the hearing was
12 recessed, to reconvene this same day at 12:00 p.m.]

13 MS. SMITH: We are going to go back on
14 the record. I would like to ask if there is anyone in
15 the audience who would like to give any remarks for
16 the record at this point in time?

17 [No Verbal Response]

18 MS. SMITH: All right. In that case
19 then, we will close the record on this public meeting
20 and thank you all for coming.

21 [Whereupon, at 12:10 p.m. the hearing was
22 concluded.]

23

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701