

IN THE MATTER OF:)
)
DIESEL EXHAUST HEALTH)
EFFECTS PARTNERSHIP MEETING)

Official Reporters
1220 L Street, N.W., Suite 206
Washington, D.C. 20005-4018
(202) 628-4888
contracts@hrccourtreporters.com

$$\begin{pmatrix}) \\) \\) \\) \end{pmatrix}$$

Heritage Reporting Corporation
(202) 628-4888

PARTICIPANTS: (Cont'd.)

JEFFREY MONINGER, Mechanical Safety Division;
Approval and Certification Center, Mine Safety
and Health, Department of Labor

ALEKSANDER BUGARSKI, National Institute for
Occupational Safety and Health, Pittsburgh
Mining Research Division

LINK BOWERS, Technical Support
Mine Safety and Health, Department of Labor

MR. RAYMER (via webinar)

GREG MEIKLE, Chief of Health, Coal Mine Safety and
Health, Mine Safety and Health, Department of
Labor

JOE BETAR, Owner, Classic Motors, Chrysler Corp.

GEORGE SASEEN, Diesel Particulate Matter, Mine
Safety and Health, Department of Labor

MONIQUE SPRUILL, Chief of Health, Metal/Non-Metal
Division, Mine Safety and Health, Department of
Labor

DR. RJ MATETIC, Director, Pittsburgh Mining
Research Division, Mine Safety and Health,
Department of Labor

MARK ELLIS, President, Industrial Minerals
Association-North America

EVELYNN STIRLING, Cummins Engine Company

LARRY PATTS, Physical Scientist, National
Institute for Occupational Safety and Health

EDWARD GREEN, Senior Counsel, Crowell & Moring,
LLP

DAVE NARDO, Frontier Mining Ltd.

JOSEPH SBAFFONI, Mining Industry Consultant
JAS Mine Consulting, LLC

P R O C E E D I N G S

(1:30 p.m.)

3 MS. McCONNELL: Good afternoon. Hello,
4 Arlington. Good afternoon, everyone. We are starting
5 our second portion of today's event, which is our
6 MSHA/NIOSH Diesel Partnership Meeting. This is our
7 second meeting. And before we start on today's
8 presentations, as you know, we have several folks in
9 Arlington who want to join us, and they will be
10 kicking off our meeting. And so, without further ado,
11 I would like to introduce Patricia W. Silvey.

12 MALE VOICE: I don't think they're getting
13 through.

14 MS. McCONNELL: I don't think they hear me.

15 (Laughter.)

16 MR. ANGEL: This is Triadelphia. Are we
17 ready to get started?

18 MS. McCONNELL: Pat, can you hear us?

19 MALE VOICE: Yeah. We're going to start in
20 just a minute.

21 MS. SILVEY: Did he say just a minute?

22 MALE VOICE: Yes.

23 MALE VOICE: Here's Aubrey.

24 FEMALE VOICE: I'm right here.

25 MALE VOICE: Okay. We're going to start in

1 about two minutes.

2 MS. SILVEY: Okay.

3 MS. McCONNELL: No, start now. Pat, can you
4 hear us?

5 MS. SILVEY: I can hear you.

6 MS. McCONNELL: Yeah, but Arlington can't
7 hear us.

8 MR. ANGEL: Can Arlington hear us?

9 MALE VOICE: Yeah, we can hear you.

10 MS. SILVEY: Okay. We're going to start in
11 one minute, so please bear with us.

12 FEMALE VOICE: No problem. Let me know when
13 you're ready and I will connect your lines.

14 MS. SILVEY: Thank you.

15 (Pause.)

16 MS. SILVEY: Okay.

17 FEMALE VOICE: Are you ready to begin?

18 MS. SILVEY: I'm ready to begin. Thank you.

19 FEMALE VOICE: I will join your lines in now
20 and you may begin.

21 MS. SILVEY: Thank you. Let me first say
22 good afternoon to everybody. I suppose we have people
23 in a variety of locations. So rather than call off
24 all -- well, it's not that many that I can't call them
25 all off. Unfortunately, there are some of us in

1 Arlington who were not able to be in Triadelphia, West
2 Virginia, today. And then we have people in Beckley,
3 Birmingham, Denver, Duluth, and Vacaville.

4 So I want to thank all of you for joining us
5 today. And so that everybody will get everything
6 that's done today, we will have a record made of these
7 proceedings. And we have --

8 (Audio reverberation.)

9 MS. SILVEY: So while everybody's speaking,
10 I guess, people who are not muting their phones. I
11 don't know what that was unless that was people coming
12 online.

13 But anyway, this is a continuation of the
14 MSHA/NIOSH Partnership. Now, on my notes, it says
15 MSHA/NIOSH Partnership, but it's really MSHA, NIOSH,
16 the industry, and labor all rolled up in a
17 partnership. And this initiative started on June 6,
18 2016, when we published a Request for Information.

19 We held the comment period open until
20 January 2018, as you all know. And one of the things
21 that we continuously heard -- well, one of the things
22 we heard, I think, was that we would hold an open-
23 ended comment period, and so you will all be allowed
24 ample time to have input into this partnership. This
25 is the second meeting of the partnership. If I

1 recall, our first meeting was in December.

2 And at today's meeting, you will be provided
3 the results of the comments so far, because we have
4 gotten comments from a number of the participants in
5 the partnership. We will also -- one of the things
6 that I see as coming out -- I don't know where this
7 partnership is going relative to rulemaking, and if
8 some of you know, you have a better crystal ball than
9 I do.

10 But one of the things I know that we
11 promised each other was that we would share
12 information. We would share information on best
13 practices, on strategies, and I think innovations with
14 respect to control in diesel exhaust, and if we come
15 out with anything, that will be good, that if one
16 partner has innovations and another partner -- if that
17 person's organization can make it available to another
18 partner, then those are the kind of things we want to
19 make sure that come out of this partnership, best
20 practices and strategies, and we can also post those
21 kinds of things on our website, as well as NIOSH's
22 website, and people can send their best practices to
23 us.

24 Before I start, I want to introduce our new,
25 and some of you have met him and some of you have

1 heard me introduce him before, our political deputy
2 here at MSHA. He was former Chief of Staff to
3 Secretary Acosta, Wayne D. Palmer, and he's going to
4 say a few words, but after I mention one more thing.
5 And I know that there are some of you in this room who
6 are interested in MSHA's Examinations of Working
7 Places proposed rule, as well as final rule.

8 As you know, on that Examinations of Working
9 Places, Metal/non-metal final rule, we published it on
10 January 23, 2017, and the effective date -- the
11 proposed effective date was going to be May 2, 2017.
12 When I say published it, I mean we published it in the
13 *Federal Register*. And so we delayed the effective
14 date for one time, and on September 12, again, we
15 delayed the effective date, this time until March 2,
16 2018.

17 So we published two proposed rules on this
18 same date, September 12, 2017. One would delay the
19 effective date of the January 23 rule until March 2,
20 2018. And we are asking for comments on that and we
21 have a quick turn-around time on those comments. The
22 second proposed rule proposed several changes to the
23 January 23rd rule. So, if you all follow me, and I
24 think you do, the first change we did, as some of you
25 know who follow this rulemaking, the January 23rd rule

1 required that a work in place examination be done
2 before work begins in that working place.

3 The September 12th proposal changed that from
4 before work begins until -- to be before work begins
5 or as work begins, which means the substance is that
6 near the beginning of the work in that place, the
7 operator would do the workplace examination. So there
8 are two alternatives: before work begins or as work
9 begins.

10 The second proposal, for hazards that are
11 immediately corrected, the proposal would provide that
12 those hazards, you do not -- the operator does not
13 need to make a record of hazards that are immediately
14 corrected. If the hazard is not immediately
15 corrected, in the January 23rd rule, the operator would
16 have to make a record of the hazards. So those
17 changes, we believe that those changes provided some
18 additional flexibility for metal/non-metal operators
19 as they manage their safety and health programs but
20 also assure protections to miners, safe and health
21 protections for miners.

22 We will hold four public hearings, and, if
23 I'm not mistaken, one is in -- one is here in
24 Arlington, one is in Salt Lake City, one in
25 Birmingham, and one in Pittsburgh maybe. Somebody

1 correct me if I'm wrong. Pittsburgh. So nobody said
2 anything, so I guess it was right.

3 Anyway, all the information on the metal/
4 non-metal proposed rulemaking will be on our website.
5 And as usual, we appreciate your participation in this
6 rulemaking. And we encourage you to participate both
7 in writing, as well as to participate on record at one
8 of the four public hearings I just named.

9 And so one final thing before I ask Mr.
10 Palmer to say whatever he has to say. One final thing
11 is one of the things we promised you when we published
12 the proposed, the January 23rd proposal, and I made the
13 promise to you, and that promise was that we would --
14 and I'm talking to the metal/non-metal constituency
15 who is interested in the metal/non-metal examination
16 rule. We promised you that we would have outreach
17 seminars, we would have training, and we would have
18 training for our inspectors, and we will keep our
19 promise. So before that rule goes into effect, again,
20 I promise you that we are going to do those things.

21 And with that, I think those are the
22 introductory remarks that I have, and, obviously,
23 we're going to have several more hours for give and
24 take with you all. So Mr. Palmer.

25 MR. PALMER: Thank you very much, Pat. I

1 just wanted to take a little time to briefly introduce
2 myself. As I've been working with Pat since
3 Inauguration Day when I was sworn in as the head of
4 what they call the beachhead team, the team that
5 landed and basically established the new
6 administration of the President at Department of
7 Labor.

8 But then I became interim Chief of Staff
9 about three months later, until about three weeks ago
10 when I came over to MSHA as part of a model of vision
11 that Secretary Acosta has for not just MSHA but OSHA,
12 EBSA, and some of the other agencies within DOL to
13 nominate as assistant secretary of, again, an agency,
14 someone with deep expertise in the regulated
15 industries and then at least where that nominee
16 perhaps has a lot of experience in Washington to
17 appoint as deputy assistant secretary, more of a D.C.
18 navigator, and that's where I came into the picture.

19 What that means in the immediate future is
20 that I'm what they call the confirmation sherpa, the
21 person who's responsible for helping shepherd the
22 nominee through Senate confirmation. I'm actually
23 between meetings in the Senate right now. And I'm
24 pleased to report that the nomination is moving
25 forward. It's advancing, actually, fairly rapidly, at

1 least by Senate standards. I anticipate that the
2 nominee will get through the Health Committee, the
3 committee of jurisdiction, probably sometime around
4 the third, perhaps the fourth week of October.

5 And then, if he does get passed by the
6 committee, he'll be put on what's called the executive
7 calendar, where he would then be eligible to be called
8 up and considered by the full Senate. When ultimate
9 confirmation might come is a little harder to
10 forecast, but really getting the nominee onto that
11 executive calendar is more than half the battle. So
12 I'm feeling pretty good about that process.

13 And, again, after I recuse myself here, I'm
14 going to head back to the Senate for some more
15 meetings with the nominee and Senators. Longer term,
16 I want to be as visible and engaged as possible with
17 all of you. I apologize that I'm not there in person.
18 That was my hope that I could be, and were it not for
19 this confirmation process, I would be.

20 I'm someone who learns by seeing and doing.
21 And I'm not someone who likes to just sit in an office
22 somewhere and type at my computer. I'd rather be out
23 and about and meeting people and learning firsthand.
24 So, with that, I'll say that I do look forward to
25 meeting those of you I haven't already met.

1 And I think, once the nominee gets on that
2 calendar through the committee, that's when I'll be
3 able to dial back my efforts. At that point, it
4 becomes a matter of the Senate majority leader finding
5 the means and the opportunity to get our nominee and
6 some others through the process. So, unfortunately, I
7 have to run back to the Senate. Thank you for
8 allowing me at least a couple minutes just to say
9 hello. And I do look forward to meeting all of you in
10 the future. Thank you.

11 MS. SILVEY: Okay. So I think next then we
12 will just -- this does make it a little more
13 difficult. Thank you, Wayne. This does make it a
14 little more difficult. But we are going to follow our
15 regular schedule, and I think next we will hear from
16 our partner, one of our partners, and that's Jessica
17 Kogel. Jessica, I assume you are in Triadelphia.

18 DR. KOGEL: Yes, I am, Pat, and I hope you
19 can hear me. Can they hear me?

20 MR. ANGEL: Let's try. Try it again now.

21 DR. KOGEL: All right. Now can you hear me,
22 Pat?

23 (Audio reverberation.)

24 MR. ANGEL: Okay. Sorry about that. It's
25 Triadelphia. Can you hear us now?

1 DR. KOGEL: Can she see me?

2 MR. ANGEL: Okay. We're in Triadelphia.

3 Can you hear us now?

4 MS. SILVEY: Yes, we can hear you.

5 DR. KOGEL: Okay.

6 MR. ANGEL: Okay.

7 DR. KOGEL: All right. Thank you, Pat, for
8 the introduction. So, for those of you who don't know
9 me, I'm Jessica Kogel. I'm the Associate Director for
10 Mining at NIOSH. And I really, you know, in the
11 interest of time and moving into our program, I'm
12 going to make two kinds of brief comments that I would
13 like you to, you know, consider as we move through
14 today's proceedings. And, you know, one of them Pat
15 already brought up, and that's the fact that this is a
16 partnership that is more than just MSHA and NIOSH, and
17 it's very important for everybody who's in the room
18 representing all of our various stakeholders to have
19 input, and this is really the forum for doing that.

20 And as she alluded to, this is a partnership
21 that was established a little bit less than a year
22 ago. This is our second meeting, and it's built on a
23 model of partnerships that NIOSH has had for a number
24 of years. And through our partnership experiences,
25 we've learned that it's a really great forum for

1 bringing together all of our stakeholders and
2 exchanging information and giving you all an
3 opportunity to provide feedback to us. And that's
4 something that comes into really informing our
5 research and how our research goes forward.

6 Can everybody hear me okay in back of the
7 room? Yeah? Okay. Good. And I don't know about out
8 there, but hopefully.

9 So anyway, one of the things that's very
10 different about this partnership and it's actually
11 something I'm personally very excited about is the
12 fact that it is co-chaired by MSHA and NIOSH. And
13 this is the first time we've done this, and that was
14 done strategically. That wasn't something that we did
15 by accident. And what it does is it really reflects
16 the commitment that our two agencies have for
17 promoting and advancing mine worker health and safety.

18 Each of us, you know, has a different role
19 in this process. NIOSH is really involved in and very
20 much focused on the research piece of it, whereas MSHA
21 plays much more in that regulatory space. And as two
22 different federal agencies that have a common mission
23 and goal, you know, we've realized that in order for
24 us to be successful and to really advance that mission
25 and help mine workers, we need to work together. We

1 shouldn't be working in a siloed kind of way.

2 So this partnership kind of gives us an
3 opportunity to work in a way where both the research
4 and the rulemaking process are being done with some
5 kind of communication between them and so that the
6 rulemaking can then be informed by the research and
7 vice versa so that we're, again, not into these kind
8 of different siloed places.

9 So this is in a sense an experiment and it's
10 an exciting time. This is here for all of the
11 partners at the table much more than just MSHA and
12 NIOSH. So, with that in mind, as we go through
13 today's presentations and we present our information
14 both from MSHA and NIOSH, we're going to have
15 opportunities for dialogue and for interaction and we
16 really want to get that dialogue back. That's the
17 first point.

18 The second point is, is I think it's really
19 important for this partnership and any of the
20 partnerships that we have is we need to be self-
21 reflective, and what I mean by that is that when we
22 established this partnership, it was a different time.
23 It was a different administration. We have a new
24 assistant secretary coming in for MSHA. Things change
25 with research as we learn more. And so we have to

1 always come back to the table and ask ourselves a
2 question, and that question should be, is this
3 partnership as it was originally established still
4 going in the direction that we need it to for
5 everybody who's a member of that partnership.

6 And so, when we come to the end of this, RJ
7 Matetic from NIOSH is going to be handling and
8 moderating a closing discussion, and I think during
9 that time, it's going to be very important for us to
10 ask ourselves the question, is this partnership
11 heading in the direction that we need it to to be of
12 the most value for all of the partners. And I think
13 probably at the end of every time we have a
14 partnership meeting it's really good for us to go back
15 and look at that.

16 So, again, on behalf of NIOSH, I want to
17 welcome everybody here. I want to welcome everybody
18 who's not here. And I'm really glad we could have
19 this broad participation. And hopefully we'll be able
20 to work through all of the technical challenges to
21 connect each other virtually. So anyway, with that,
22 we'll go ahead and turn it over, I guess. Do you want
23 me just to -- I don't know where Sheila is. I can go
24 ahead and introduce the first speaker, I guess. So
25 there you are. Roslyn Fontaine is going to do a

1 discussion on responses to the MSHA Request for
2 Information.

3 MS. FONTAINE: Good afternoon. My name is
4 Roslyn Fontaine and I am the Deputy Director of MSHA's
5 Office of Standards, Regulations, and Variances. As
6 Ms. Silvey stated, we have a court reporter for this
7 meeting, so I'm asking if you speak, please state and
8 spell your name for the court reporter.

9 The RFI was published in June of 2016, and,
10 of course, since then, the President has issued two
11 Executive Orders. In Executive Order 13771, Reducing
12 Regulation and Controlling Regulatory Costs, Section
13 2-A requires MSHA to identify at least two existing
14 regulations to be repealed before we publicly propose
15 for notice and comment or otherwise promulgate a new
16 regulation.

17 In Executive Order 13777, Enforcing the
18 Regulatory Reform Agenda, Section 3-A directs MSHA to
19 seek comments on its recommendations to repeal,
20 replace, or modify existing regulations from the
21 public and entities significantly affected by *Federal*
22 regulations, including state, local, and tribal
23 governments, small businesses, consumers, non-
24 governmental organizations and trade associations.

25 MSHA is informing our stakeholders that the

1 agency is seeking stakeholder input on its regulatory
2 reform initiative during forums such as these,
3 partnership and alliance meetings, quarterly training
4 and stakeholder calls, walks and talks, and
5 conferences. Information provided by stakeholders
6 will help improve the health and safety of miners and
7 assist MSHA in determining the appropriate regulatory
8 action. Further information is forthcoming on where
9 to submit comments and things of that nature.

10 During this process, we will be focusing our
11 attention on best practices for controlling exposure
12 to DPM. So today, we will be discussing Topic A:
13 non-permissible, light-duty, diesel-powered equipment
14 in underground coal mines to the extent that DPM
15 emissions can be lowered by equipping of machines with
16 a DPM filter or exhaust after-treatment systems. We
17 will be talking about C, exhaust after-treatment in
18 engine technologies, and E, metal/non-metal miners'
19 personal exposure limits.

20 We will not be focusing on the advantages
21 and disadvantages and costs associated with requiring
22 all non-permissible, light-duty, diesel-powered
23 equipment used in underground coal mines to meet
24 current EPA emission standards. We will not be
25 discussing maintenance of diesel-powered equipment in

1 underground coal mines and recordkeeping requirements.

2 And we won't be discussing alternative surrogates to
3 TC to estimate a miner's DPM exposure.

4 Okay. So we've got a few general comments
5 on non-permissible, light-duty, diesel-powered
6 equipment in underground coal mines. One commenter
7 stated that MSHA's existing standards for light-duty
8 equipment are out of date, specifically, 30 CFR
9 72.502. The commenter further remarked that current
10 diesel engine technology can reduce DPM emissions
11 beyond what the existing standards require and that
12 all non-road diesel engines produced today are
13 required to meet EPA Tier 4 standards.

14 A second commenter recommended that MSHA
15 update 30 CFR Part 7, subpart E, Diesel Engines
16 Intended for Use in Underground Coal Mines, as
17 promised in the preamble to the 2001 final rule for
18 diesel particulate. MSHA also indicated in the 2001
19 rule that it would adopt a more streamlined approach
20 and rely heavily on the EPA's approval program for
21 engines used in off-road applications. This second
22 commenter also submitted a study on the contribution
23 of light-duty vehicles to underground DPM exposures.
24 And all of the studies are posted on our website.

25 Okay. So the first question. Is there

1 evidence that non-permissible, light-duty, diesel-
2 powered equipment currently being operated in
3 underground mines emit 2.5 grams per hour of DPM or
4 less? A commenter stated that the national diesel
5 inventory shows approximately 3400 pieces of light-
6 duty equipment with only about 90, with engines listed
7 as emitting less than 2.5 grams per hour standard.
8 These commenters remarked that all light-duty
9 equipment in Pennsylvania, West Virginia, and Ohio
10 emit less than 2.5 grams per hour by state law, not by
11 MSHA regulation, and to limit a diesel engine to
12 2.5 grams per hour is not a standard. It allows lower
13 horse-powered engines to emit more DPM than higher
14 horse-powered engines.

15 A second commenter said sort of the same
16 thing, that MSHA's 2.5 grams per hour DPM standard is
17 not a viable standard for comparison because it does
18 not take into account horsepower. And as horsepower
19 increases, so does the DPM concentrations. Tier 4
20 engines and most engines approved by MSHA for use in
21 light-duty equipment can meet a 2.5 grams per hour
22 standard if a DPM filter is installed.

23 A third commenter remarked that there is
24 evidence that some equipment being operated in
25 underground mines emits 2.5 grams per hour of DPM or

1 less. But the evidence is mixed and not formally
2 published. Commenter further stated that the national
3 diesel inventory data indicate that at least
4 97 percent of permissible and 90 percent of non-
5 permissible, heavy-duty, equipment emit less than
6 2.5 grams per hour of DPM and that at least 50 percent
7 of non-permissible, light-duty equipment, including
8 generators and compressors, emit more than 5 grams per
9 hour of DPM.

10 A fourth commenter, who happens to be a
11 dealer for light-duty, non-permissible mantrips sold
12 under two different brand names, stated that none of
13 the mantrips currently manufactured by that company
14 emit less than 2.5 grams per hour of DPM as delivered.
15 Okay?

16 The second question deals with what
17 administrative, engineering, and technological
18 challenges would the coal mining industry face in
19 meeting a 2.5 grams per hour DPM emissions level for
20 non-permissible, light-duty, diesel-powered equipment.

21
22 Two commenters stated that the equipment in
23 Pennsylvania, West Virginia, and Ohio have been built
24 with an exhaust after-treatment system built by the
25 original equipment manufacturer and there have been no

1 problems retrofitting after-treatment systems into the
2 equipment, and there should be no problem doing so in
3 other states.

4 Another commenter remarked adding DPM
5 filters or purchasing in Tier 4 engines is feasible
6 for the mining industry and all light-duty machines
7 can be equipped with a DPM filter. Another commenter
8 noted, however, that low DPM emissions were achieved
9 primarily by the retrofit type diesel particulate
10 filters and by filtration systems with disposable
11 filter elements. Exhaust after-treatment could be an
12 option for vehicles that have enough space for
13 installation of such a system. The commenter further
14 stated that replacement of existing engines with same-
15 sized engines that meet EPA Tier 4 final standards is
16 one alternative solution and cited studies discussing
17 the challenges. And, again, the studies have been
18 posted. A fifth commenter stated that aftermarket DPM
19 filters would be needed to bring emissions below
20 2.5 grams per hour on his mantrips.

21 Okay. The next question deals with the cost
22 of requiring the coal mining industry to lower all
23 non-permissible, light-duty, diesel-powered equipment
24 to meet the 2.5 grams per hour of DPM. So since that
25 would deal with rulemaking, we're not going address

1 that today.

2 So I'm going to move on to what percentage
3 of non-permissible, light-duty, diesel-powered
4 equipment operated underground does not meet the
5 current EPA emission standards. The first commenter
6 said that we already have this information, which is
7 true, and we will be making a presentation on that
8 later.

9 The other commenter said currently, only
10 engines in six out of 3,411 non-permissible, light-
11 duty, diesel-powered equipment meet EPA Tier 4 final
12 standards, and 99.8 percent of engines in the non-
13 permissible, light-duty, diesel-powered equipment do
14 not meet the current EPA emission standard. And we'll
15 be talking about that later.

16 Okay. Question 5. What modifications could
17 be applied to non-permissible, light-duty, diesel-
18 powered equipment to meet current EPA emissions
19 standards? What percentage of this equipment could
20 not be modified to meet current EPA emission
21 standards? If these are specific types of equipment,
22 please list the manufacturers and model numbers.

23 Okay. One commenter stated that DPM filters
24 are feasible controls that can be installed on all
25 types of light-duty equipment and is currently being

1 installed on light-duty equipment in Pennsylvania,
2 Ohio, and West Virginia. By adding a DPM filter to
3 any light-duty machine, DPM concentrations will be
4 reduced to levels equivalent to EPA's Tier 4 DPM
5 standard.

6 A second commenter remarked that oxidation
7 catalysts, DPM filters, and exhaust emissions control
8 and conditioning systems could be applied to non-
9 permissible light-duty equipment, and cited supporting
10 studies. A third commenter said that modifications in
11 order to meet EPA Tier 4 final emissions standards
12 would involve retrofitting existing engines with
13 advanced integrated exhaust after-treatment systems to
14 control PM, NMHC, CO, NO_x emissions. The success of
15 some retrofit programs is uncertain due to the
16 technological challenges of integrating advanced
17 exhaust after-treatment systems with existing engine
18 systems.

19 Okay. Question 6 deals with advantages and
20 disadvantages and costs associated with requiring all
21 non-permissible, light-duty, diesel-powered equipment
22 operating in underground coal mines to meet current
23 EPA emission standards. Again, we won't be discussing
24 that today.

25 Okay. The last question in this section

1 dealt with West Virginia, Pennsylvania, and Ohio
2 limiting diesel equipment in the outby areas of
3 underground coal mines based on the air quantity
4 approved on the highest ventilation plate. What are
5 the advantages, disadvantages, and costs if MSHA
6 adopted such an approach? We only received two
7 comments.

8 The first commenter stated that increasing
9 ventilation name plates for machines, especially for
10 DPM control on light-duty equipment operating in outby
11 areas, is problematic. It is not feasible to monitor
12 the air or even determine over a shift which air
13 course a machine is operating. This commenter went on
14 to say that since MSHA cannot measure concentrations
15 of DPM in underground coal mines, increases in
16 ventilation rates on a name plate for individual
17 machines is not feasible, and as a result, miners'
18 exposure to DPM cannot be evaluated to determine if an
19 increase in ventilation is actually reducing DPM
20 exposure.

21 The second commenter suggested that it would
22 help ensure that DPM is being moved out of the mine
23 atmosphere properly by not allowing too many machines
24 to operate when there is not sufficient air in the
25 area. And there are no disadvantages to this, other

1 than the operator not being able to have the
2 flexibility to operate as many diesel machines as it
3 would want on a single split of air.

4 That's all the comments we received on the
5 first section. Does anybody have any questions or
6 comments they'd like to make?

7 FEMALE VOICE: For those participating on
8 the phone, if you would like to ask a question, please
9 press star one and record your name. If you would
10 like to withdraw your question, please press star two.
11 Again, to ask a question, please press star one. It
12 will take a few moments for questions to come through.
13 Please stand by.

14 (Pause.)

15 FEMALE VOICE: We show no questions at this
16 time.

17 MS. FONTAINE: Okay. Thank you.

18 Okay. So we will not be discussing Section
19 B, Maintenance of Diesel Powered Equipment in
20 Underground Coal Mines and Recordkeeping Requirements.
21 We'll be moving on to Section C, Exhaust After-
22 treatment and Engine Technologies. We received quite
23 a few comments on this section. Okay. The first --
24 I'll just discuss the general comments we got overall.
25

1 One commenter stated that MSHA should re-
2 evaluate the remaining types of light-duty equipment
3 currently operating underground to determine if
4 additional equipment should be included under section
5 72.501. For example, in the 2001 rule, MSHA required
6 generators and compressors to meet the same DPM
7 emission limits as heavy-duty equipment based on their
8 contribution to miners' exposure to DPM.

9 A second commenter stated that MSHA must
10 take into account the crucial role of the original
11 equipment manufacturer in developing equipment
12 suitable for use in a mine environment and that Tier 4
13 engine technology has not yet fully matured. The
14 commenter went on to say that once enhanced engines
15 and monitoring equipment become more readily
16 available, mines will need adequate time to plan
17 capital expenditures, evaluate equipment, and revise
18 maintenance schedules and procurement contracts well
19 in advance of any future compliance date. This
20 commenter stated it is vital for MSHA to consider
21 these practical challenges working in partnership with
22 stakeholders in the context of the interagency
23 approach.

24 A third commenter stated that in addition to
25 producing lower emissions, Tier 4 engines require low

1 sulfur fuel and low ash oil, which will also improve
2 air quality. This commenter stated that the increase
3 in cost would be offset by improved motor performance.

4 A fourth commenter explained how diesel
5 particulate filter performance is enhanced by using
6 biodiesel fuel. The use of biodiesel with DPF can
7 promote generation in the DPF systems because of
8 underground mines' tendency to have a low balance
9 point temperature. This can eliminate extra expenses
10 related to DPFs and negate the need for active
11 regeneration of the filters.

12 A fifth commenter described targeted
13 improvements to reduce exposure for two high exposure
14 groups, shotcreters and magazine keepers, and included
15 a data table, which is also posted. Continuously
16 regenerating trap DPFs fitted on shotcrete rigs
17 achieved a 99 percent reduction in emissions. To
18 reduce exposures to the magazine keeper, vehicles were
19 rerouted away from the magazine.

20 This commenter noted that intrinsic safety
21 is not a limiting factor in equipment implementation
22 at metal/non-metal mines and they describe controls
23 under development at a metal/non-metal mine, including
24 using high-quality, low sulfur diesel fuel, engaging
25 with suppliers to improve engine design and exhaust

1 treatment devices, just to name a few. Another
2 commenter submitted spreadsheets, and we got like five
3 reports that are also published on our website.

4 Okay. Question 14. What exhaust after-
5 treatment technologies are currently used on diesel-
6 powered equipment? What are the costs associated with
7 requiring and maintaining these after-treatment
8 technologies and by how much did they reduce DPM
9 emissions? How durable and reliable are after-
10 treatment technologies and how often should these
11 technologies be replaced?

12 One commenter stated that MSHA's diesel
13 inventory has up-to-date data on the manufacturers and
14 model types for DPM filters and that we should make
15 the information available to industry. And, again, we
16 will be making a presentation on that.

17 A second commenter explained that there are
18 both paper and ceramic-based filters. Ceramic filters
19 can last thousands of hours. Paper filters are
20 typically changed during the 100-hour maintenance of
21 the equipment. Ceramic filters can reduce emissions
22 by 90 to 95 percent but cost around \$20,000 to install
23 onto one piece of equipment.

24 A third commenter described having both
25 paper filters and ceramic filters. The commenter

1 stated that it would cost approximately between
2 \$12,000 to \$25,000 to retrofit one piece of existing
3 equipment with a DPF system. This commenter
4 recommended including an oxidation catalyst in all DPF
5 after-treatment systems to greatly reduce the carbon
6 monoxide concentration in the exhaust, and burn up
7 approximately 20 to 30 percent of the organic carbon
8 factor of DPM. This commenter stated that these are
9 required by Pennsylvania, West Virginia, and Ohio, and
10 are not very costly, do not require a lot of
11 engineering to install and, if maintained properly,
12 give a great return on your expenditure.

13 A fourth commenter reported that catalytic
14 diesel particulate filters achieve around 60 percent
15 removal efficiency, last approximately 5,000 hours,
16 and show 70 to 80 percent durability during that time.
17 Filter replacements can cost from \$12,000 to \$15,000
18 per unit and may involve lengthy downtime while a new
19 filter is obtained and installed. This commenter
20 stated that capturable filters have better removal
21 efficiency, like 95 percent removal with costs of
22 \$30,000 per unit, replacement of internal parts
23 running \$14,000, and cleaning costs, \$2,000.

24 A fifth commenter provided information on
25 several strategies. Catalytic converters and

1 installed dry filter systems with a replacement cost
2 of between \$12,000 and \$15,000 per unit and a removal
3 efficiency of about 60 percent; catalytic or
4 capturable diesel particulate filters, which cost
5 \$30,000 per unit, \$15,000 for filter replacement, and
6 provide 95 percent removal efficiency; diesel exhaust
7 fluid, in addition to DPFs.

8 Loaders with filters that convert up to
9 90 percent of DPM to carbon dioxide in water. A suite
10 of removable technologies, such as DPM filters and
11 Urea injection or Sintered Metal Filters, which cost
12 roughly \$50,000 to purchase and install, \$6,000
13 annually to maintain. Diesel filter elements, which
14 cost \$23,500 to install and \$121,000 annually to
15 maintain. Diesel oxidation catalysts, which cost
16 \$17,000 to install. The latter three technologies
17 capture anywhere from 83 to 99 percent of DPM. So we
18 got a lot of lists of different types of controls that
19 can be used. Installation of DST scrubbers, let's
20 just say it costs like \$110,000 per engine.

21 A sixth commenter explained that those
22 diesel exhaust filters that operate at high
23 temperature, such as auto-regenerating ceramic
24 filters, cannot be used on intrinsically safe
25 equipment, a requirement for use in underground coal

1 mines. This commenter explained that their large
2 vehicles are fitted with water traps and that DPM
3 filters are installed after the water trap and must be
4 low temperature and able to withstand the high
5 humidity environment created by the water trap.

6 This commenter described a 50 percent
7 exposure reduction with installation of washable
8 filters. The company has since upgraded to fiberglass
9 filters, having 90 to 100 percent efficiency, which
10 are three times costlier but have a longer filter
11 life, 50 hours instead of eight, reduced technician
12 time, increased machine availability, and reduced
13 disposal costs, offsetting the higher filter costs.
14 This commenter also described back pressure monitoring
15 used on larger vehicles to monitor filter loading,
16 with filter changeout at 10 kPa pressure drop across
17 the filter. A seventh commenter stated that MSHA
18 should upgrade again Table 72-502.1. And another
19 commenter submitted six studies.

20 Now we'll move on to Question 15. What are
21 the advantages, disadvantages, and relative costs of
22 using DPM filters capable of reducing DPM
23 concentrations by at least 75 percent or by an average
24 of 95 percent or to a level that does not exceed an
25 average concentration of .12 milligrams per cubic

1 meter of air when diluted by 100 percent of the MSHA
2 Part 7 approval ventilation rate for that diesel
3 engine? How often do the filters need to be replaced?

4 One commenter stated that all commercially
5 available DPM filters will reduce DPM with high
6 efficiencies, which would meet Tier 4 engine
7 standards, and that MSHA has the data on its diesel
8 inventory to determine DPM filter efficiency with
9 ventilation rates in order to calculate an exposure
10 and that MSHA should provide the most up-to-date data
11 from the inventory to industry, which we will be
12 doing.

13 A second commenter stated that the cost of
14 such systems are around \$20,000 to install one of
15 these systems onto one piece of equipment and that
16 these systems can reduce emissions by around 90 to
17 95 percent. A third commenter stated that most
18 available filters have either 60 percent or 95 percent
19 removal efficiency. Ninety-five percent DPF have a
20 much higher associated cost, coatings that produce
21 increased NO₂ emissions, resulting in the need for
22 additional controls, that are available only on
23 engines at Tier 3 or higher and can create visibility
24 issues as these filters have to be very large to
25 capture the exhaust of older engines.

1 For 60 percent filters, operators have
2 experienced duty cycle replacement at around 5,000
3 hours approximately every three years, although some
4 have reported greater difficulties with Tier 3
5 equipment, resulting in replacement at around 2,000
6 hours. The 95 percent filters are fairly new and
7 their replacement interval is not yet known. This
8 commenter also described an instance where a powder
9 truck required daily filter replacement. Filters were
10 discontinued in that case.

11 A fourth commenter expressed concern
12 regarding costs of 95 percent efficient filters,
13 coatings that produced a greater amount of NO₂ than
14 pure technologies and problems retrofitting them onto
15 existing equipment. This commenter described filter
16 replacement intervals of every 24 hours, every 4,500
17 hours, every nine to 10 months or never, with dry
18 filter systems having less service down-time since the
19 operators can change the filters themselves. This
20 commenter proposed more cost-effective alternatives,
21 such as additional ventilation and administrative
22 controls.

23 A fifth commenter provided information on
24 several issues, like the national coal diesel
25 inventory shows that more than 370 heavy-duty

1 permissible packages include filtration systems with
2 disposable filter elements. Over 1,140 non-
3 permissible, heavy-duty, engines are retrofitted with
4 diesel particulate filters or filtration systems with
5 DFEs to meet MSHA Pennsylvania and West Virginia
6 standards, and over 670 light-duty vehicles are
7 equipped with DPFs or filtration systems with DFEs.

8 Most require additional ventilation to meet
9 the 2.5 grams per hour standard or .12 milligrams per
10 cubic meter standard, except for a few recently meet
11 the 2.5 grams certified non-permissible engines with
12 integrated DPM controls. Reducing DPM emissions to
13 120 micrograms per cubic meter would require
14 additional air or a higher efficiency filter for most
15 engines that currently need to meet the 2.5 grams per
16 hour standard. The DFEs used in underground coal
17 mining should meet more stringent standards.

18 One area that requires improvement is the
19 efficiency of DFEs throughout their useful life. The
20 current certification and verification procedures
21 should be improved to accommodate the variety of
22 deployed engines and exhaust after-treatment
23 technologies, should detect potential secondary
24 emissions of toxic substances, and assess both
25 particulate mass and number concentrations. More

1 stringent standards are needed to ensure that in use
2 emissions from diesel-powered vehicles remain close to
3 certification levels and to verify in use performance
4 of exhaust after-treatment technologies. Advances in
5 portable emissions measurement systems allow for real-
6 time monitoring of the currently regulated pollutants
7 emitted by engines.

8 Okay. Question 16. What sensors, e.g.
9 ammonia, nitrogen oxide, nitrogen dioxide, are built
10 into the after-treatment devices used on the diesel-
11 powered equipment? One commenter stated that carbon
12 monoxide and temperature are the only sensors that
13 come built into the after-treatment devices, although
14 other sensors, such as nitrogen oxide and nitrogen
15 dioxide, can be built into the system as additions to
16 meet state law requirements.

17 A second commenter stated that equipment
18 only has back pressure and temperature sensors built
19 into the equipment, although some facilities also
20 perform separate testing on equipment exhaust for
21 specific contaminants. The commenter also stated that
22 some engines with urea injection have a NO_x sensor.

23 A third commenter stated that after-
24 treatment devices do not use ammonia, nitrogen oxide,
25 or nitrogen dioxide sensors, although one facility

1 measures diesel exhaust for particulate matter,
2 nitrogen oxide and other gases with some regularity.

3 A fourth commenter stated that modern Tier 4
4 engines have the sensors needed to make the after-
5 treatment system work properly as installed by the
6 engine manufacturer.

7 A fifth commenter described Continental
8 Automotive NO_x sensors that can be used upstream and
9 downstream of selective catalyst reduction systems to
10 control urea dosing and diagnose SCR systems. This
11 commenter also described Delphi ammonia sensors for
12 vehicles with an SCR after-treatment system that can
13 help optimize NO_x emissions.

14 Question 17. Are integrated engine and
15 exhaust after-treatment systems used to control DPM
16 and gaseous emissions in the mining industry? If so,
17 please describe the costs associated with acquiring
18 and maintaining integrated systems and the reduction
19 in DPM emissions produced.

20 One commenter described the high costs of
21 integrated engine and exhaust after-treatment systems.

22 One mining company spent over \$2.5 million replacing
23 engines and dry filter systems, with a decrease of 95
24 percent per modified piece of equipment. This
25 commenter concluded that these systems can work well

1 but are complex, costly, and require ongoing
2 maintenance.

3 A second commenter described costs of around
4 \$20,000 and emission reduction from 75 to 95 percent.

5 A third commenter stated that these systems are more
6 complex, require additional maintenance expertise, and
7 possess more operational steps than older equipment
8 and, thus, impose higher costs, including labor costs.

9 This commenter also described significant delays in
10 delivery.

11 A fifth commenter described ventilation
12 reduction retrofit for Caterpillar engines which
13 incorporate selective engine hardware/software to
14 minimize DPM in the engine exhaust, provide modern
15 engine management systems to older engines, and are
16 compatible with using exhaust filters and low sulfur
17 fuel. This commenter stated that their loader fleet
18 has been fitted with OEM DPFs in conjunction with a
19 recent OEM ventilation reduction engine upgrade, which
20 has reduced total emissions of their loader fleet by
21 an average of 77 percent.

22 Okay. We won't be discussing Question 18,
23 and we'll move to 19. In the mining industry, are
24 operators replacing the engines on existing equipment
25 with Tier 4i interim or Tier 4 engines? If so, please

1 specify the type of equipment, make and model and
2 engine size and tier. Please indicate how much it
3 costs to replace the engine, parts and labor.

4 Two commenters stated that engine
5 replacement is often not feasible due to configuration
6 differences, high costs, and lack of OEM engineering
7 support. These commenters stated that mines often
8 switch to Tier 4 engines only when the entire piece of
9 equipment is replaced that increased lead time and
10 costs are issues with Tier 4 equipment. These two
11 commenters stated that in some cases, operators have
12 had to accept new Tier 3 equipment as replacements,
13 for example, on drilling and bolting equipment.

14 One of these commenters stated that
15 purchasing or leasing equipment with Tier 4 engines as
16 older equipment retires is often more cost-effective
17 than engine replacement but can still be quite
18 expensive and that one mine operator estimated that
19 replacing its existing fleet of equipment will cost
20 tens of millions of dollars. This commenter described
21 a mine that upgraded its Wagner loader fleet, Eimco
22 913 LHD fleet, and replaced forklifts which contained
23 Perkins engines with Gehl forklifts.

24 This commenter gave cost examples for
25 installing Tier 4 engines on two existing pieces of

1 equipment of \$72,000 and \$40,000. This commenter
2 stated that some Tier 4 engines are not supported by a
3 dealer network in the company's area. This limits
4 that company's choice of engines and its ability to
5 source parts and technicians in its region.

6 A third commenter has a planned replacement
7 schedule so that the majority of engines used in heavy
8 equipment are Tier 3 and will be Tier 4 by 2020. For
9 light vehicles, low emission V8 1VD engines are being
10 purchased as replacements for one HZ engines. 1VD
11 engine emissions are lower emissions than one HZ
12 engines fitted with DPFs. However, no Tier 4 solution
13 is in scope for light vehicles.

14 The third commenter requires that
15 contractors' vehicles have an EPA rated Tier 4 engine
16 or, if a Tier 4 solution is not available, an EPA Tier
17 3 engine retrofitted with Continuously Regenerative
18 Trap style diesel particulate filters.

19 Okay. Question 20. What types of diesel
20 equipment purchased new for use in the mining industry
21 is powered by Tier 4i or Tier 4 engines? What types
22 of diesel-powered equipment purchased used for use in
23 the mining industry are powered by Tier 3, Tier 4i, or
24 Tier 4 engines?

25 One commenter stated that much equipment is

1 gradually being replaced with Tier 4 equipment, with
2 only a small portion replaced with Tier 4 to date.
3 Equipment affected includes trucks, loaders,
4 excavators, drills, bolters, and powder trucks, as
5 well as smaller equipment, such as gaters, welders,
6 and generators.

7 One commenter provided examples of equipment
8 that can be powered by Tier 4i or Tier 4 engines:
9 Wagner loaders, CAT haul trucks, some track drills,
10 Bobcat forklifts and loaders. This commenter stated
11 that trucks, loaders, excavators, highway truck-based
12 units, drills, bolters, and powder trucks often have
13 Tier 4 engines. However, new heavy equipment is not
14 equipped with Tier 4 engines, so that the overwhelming
15 majority of most company fleets are equipped with Tier
16 3 engines.

17 Okay. Question 21. Are Tier 4i or Tier 4
18 engines used in underground mining equipped with
19 diesel particulate filter systems? (e.g. advanced
20 diesel engines with integrated after-treatment
21 systems).

22 One commenter described one mine operator
23 having all its Tier 4 engines equipped with integrated
24 systems, a second with all its equipment greater than
25 30 horsepower having DPF, a third with none of its

1 equipment having DPF systems, with other companies
2 falling within this range. One commenter stated that
3 many Tier 4 engines have integrated systems, but some
4 operators meet emission requirements in other ways.

5 22. How long have Tier 4i or Tier 4 engines
6 been in use in the mining industry and what
7 additional cost is associated with maintaining
8 equipment equipped with these engines?

9 One commenter stated that Tier 4 engines on
10 heavy equipment in his industry have only been widely
11 used in the past few years, while another stated that
12 in his industry, adoption started as early as 2009 for
13 one operator but that most did not start adopting Tier
14 4 engines until the past two years. This commenter
15 stated that heavy equipment with Tier 4 engines
16 started coming online on or around 2012. Two
17 commenters stated that long-term service and
18 maintenance costs are not yet clear in their industry
19 but that the systems are complex and require highly
20 trained technicians for service, which increases
21 service and costs.

22 One of these commenters stated that the need
23 for a CAT technician, combined with the system's
24 complexity, led to an additional cost of 30K over a
25 2.5 year period for one piece of equipment with a

1 Tier 4 engine. Another suggested that increasing
2 maintenance costs has been negligible. Two commenters
3 noted that service calls on equipment with Tier 4i or
4 Tier 4 engines are usually longer than on equipment
5 with other older engine types and that they need to
6 special order parts more frequently for these engines.

7 23. What percentage of underground coal
8 mines' total diesel equipment inventory is equipped
9 with Tier 4i or Tier 4 engines?

10 One commenter stated that in Pennsylvania,
11 he or she was aware of no Tier 4 engines currently
12 being used and that most of the fleet was made up of
13 Tier 2 and Tier 3 engines. A second commenter stated
14 that a minority of underground diesel equipment at
15 their metal/non-metal operations is equipped with
16 Tier 4i or Tier 4 engines.

17 A fourth commenter stated that, where
18 possible, vehicles with older engine technology are
19 retired. Just one Tier 1 engine loader remains in
20 service. The majority are Tier 2, while the newer
21 loaders have electronically controlled Tier 3 engines.
22 Tier 4 engines presently do not meet the intrinsically
23 safe regulatory requirements. The bulk of the diesel
24 fleet are front-end loaders, with the majority powered
25 by Caterpillar 3126 engines and a smaller number by

1 Caterpillar 3306 engines or the newer Caterpillar C-9
2 engines.

3 Additionally, there are a number of PJB and
4 Drift runner personnel transport vehicles which use
5 Perkins 1104, 1006 engines respectively.

6 Okay. Those are the comments on exhaust
7 after-treatment and engine technologies. Does anyone
8 have any questions or comments?

9 FEMALE VOICE: As a reminder, if you'd like
10 to ask a question, please press star one.

11 (Pause.)

12 FEMALE VOICE: There are no questions from
13 the phone lines.

14 MS. FONTAINE: Thank you.

15 Okay. We will not be discussing monitoring
16 metal/non-metal mines' exposure to DPM or discussing
17 alternate surrogates, other than TC to estimate a
18 miner's DPM exposure. So we'll be moving on to the
19 last category, E, metal/non-metal miners' personal
20 exposure limit.

21 27. What existing controls were most
22 effective in reducing exposure since 2006? Are these
23 controls available and applicable to all metal/non-
24 metal mines?

25 Based on MSHA's data, metal/non-metal

1 miners' average exposures are well below the
2 existing standard of 160 micrograms per cubic
3 meter.

4 28. What are the technological challenges
5 and relative costs of reducing the DPM exposure
6 limit? So we will be having a presentation on
7 the best practices and controls that are in use
8 and working in our metal/non-metal mines. So,
9 with that, if there are no questions or comments,
10 I'll be turning it over to Jeff Moninger.

11 MR. MONINGER: I don't know. Do we all want
12 to take a quick five-minute break before Alex gets on
13 his presentation? Great. So five minutes, I've got
14 2:35. At 2:40, we'll start back up.

15 (Whereupon, a brief recess was taken.)

16 MR. MONINGER: Okay. We about ready to get
17 started again with Alex's presentation? Phone people,
18 can you hear us again?

19 FEMALE VOICE: Yes, we can hear you.

20 MR. MONINGER: All right. Great. Thank
21 you.

22 MR. BUGARSKI: Okay. You ready? My name is
23 Aleksandar Bugarski and I'm with NIOSH PMRD. I'm
24 going to look a little bit in what we are going to do
25 to improve existing knowledge over, you know, how to

1 regulate and how to actually reduce emissions from
2 diesel-powered equipment. You know, basically, we
3 have no mandate for almost two decades. Ever since
4 MSHA introduced regulations is to work on improving
5 these visibility based regulations, and normally how
6 we can do that is by advancing our knowledge and
7 putting us ahead of the problem.

8 We are embarking onto new projects,
9 actually, as of beginning of the next fiscal year.
10 That means next month we are starting this new project
11 which is going to have five specific aims. And we
12 discussed quite a bit what we can as NIOSH do to
13 address existing exposures and what we can do to
14 advance our knowledge.

15 The first specific aim is related to
16 development of evaluation technologies and strategies
17 to prevent overexposures to DPM over critical affected
18 occupations in underground metal/non-metal mines. What
19 we have heard today pretty much and in the past is
20 discussion, how are we going to reduce general levels
21 and average levels. We want to look a little bit
22 deeper and try to address some of these specific
23 occupations because we have seen from MSHA data that,
24 on average, industry is okay. But we are still seeing
25 a relatively large number of overexposures.

1 And then specific aim two is actually going
2 to evaluate in laboratory, in the fields and implement
3 novel and emerging advanced engine technologies for
4 heavy- and light-duty underground mining applications.

5 That's exactly how long of this Tier 4 final engines
6 and how we can get more advanced engines in
7 underground mining industry.

8 Specific aim three is develop and elevate
9 canopy air curtains for mobile underground mining
10 equipment as a control strategy for diesel aerosols.
11 And I'm going to talk little bit about that, but it's
12 one way to address some specific occupations.

13 Develop and evaluate filtration and
14 pressurization systems for environmental enclosures
15 for mobile pieces of underground mining equipment as a
16 control strategy, because we see now egress a lot of
17 equipment these days have environmental enclosures and
18 we want to work on existing and newly developed
19 enclosures.

20 And then, of course, the last but not the
21 least topic would be to develop and evaluate, in the
22 laboratory and field, advanced disposable filter
23 elements because we have observed that in a time,
24 these disposable filter elements are around for many,
25 many years and same models are still used. And we

1 would like to look in advancing that technology and
2 getting better products on the market and also
3 promoting already existing better products.

4 Before I start talking about the future, I
5 would like to kind of reflect little bit on our past
6 and we have a relatively long history of conducting
7 diesel research at NIOSH PMRD. For past two decades,
8 we did a lot of research based, all above-ground
9 efforts to reduce exposure of underground miners to
10 aerosols and gases emitted by diesel-powered
11 equipment.

12 And we have been primarily focusing on
13 development, evaluation, and implementation of
14 advanced control strategies and technologies for
15 underground mining applications specific to those.
16 And then, of course, improvements in monitoring
17 exposure to diesel aerosols. And then, of course, we
18 did some of the underground fundamental research
19 related to characterization of diesel aerosols because
20 that's a dynamic entity, ever changing. So, with the
21 new diesel technologies, we need to keep up doing
22 that.

23 So we have wealth of findings. I'm not
24 going to go through too much of that today. But what
25 we focused on is diesel particulate filter systems.

1 We promote those for almost two decades, and I guess
2 that technology's advancing and is getting better and
3 better, but it's not universal way of dealing with DPM
4 emissions in underground applications, so they have
5 some downsides too.

6 Diesel oxidation catalytic converters, we
7 looked into those issues. Particularly, there's some
8 issues with NO₂, for example, because those which were
9 good -- DFEs which are good for on-road applications
10 might not always be good for the underground
11 applications. We looked into those issues, how to
12 address that and how to develop products which are
13 suitable for underground mining industry.

14 Disposable filter elements, we evaluated
15 those in several instances and we found there are good
16 and better products. So, basically, we would like to
17 see those better products out there.

18 And then, of course, we looked into
19 environmental enclosures. We looked in say additives
20 used in conjunction with DPFs in the specific way with
21 SMF, sintered metal filters. And then, of course, we
22 did quite a bit of research based on corn and soy bio-
23 based farm biodiesel. That's a fatty acid metal ester
24 biodiesel. Very popular as a control strategy in some
25 underground non-metal and some metal mines.

1 And then, of course, we looked into advanced
2 fuels like hydrotreated vegetable oil, renewable
3 diesel, which is probably the ideal diesel fuel for
4 all applications. And then, of course, a lot of stuff
5 which we published in the past is related to trying to
6 characterize diesel aerosols in underground mines with
7 respect to the effects of all these control
8 technologies, strategies, and also with, you know,
9 changing with the development of diesel engine
10 technology.

11 Evaluation of health effects and exposure,
12 of course, that's the ultimate goal we have, of
13 course, as engineers at PMRD. We can only support
14 certain of these research topics. And we did that
15 primarily working with our sister office down there in
16 Morgantown with the Health Effects Institute, Health
17 Laboratory Division, sorry.

18 Development of DPM monitoring technology,
19 that's something what we still need to work on. We
20 have NIOSH 5040 as a benchmark, which definitely is a
21 little bit more artsy than we would like to be. And
22 the other issue is we would like to eventually develop
23 some real-time monitoring capabilities. So basically
24 we have seen effect of PDM or CPDM had on exposures to
25 dust, and having real-time instrument definitely would

1 assist industry in lowering current exposures.

2 And, of course, you know, we are trying to
3 disseminate all the information to our constituents,
4 and, you know, we are doing that through peer review
5 journals and NIOSH RIs, Reports of Investigations.
6 And, of course, we publish the book, you know, trying
7 to summarize all our experiences. We held a number of
8 the workshops, over 40 workshops over past two decades
9 in United States, South Africa, and even Australia.
10 So, basically, I think NIOSH diesel research has
11 pretty good reputation around the world.

12 You know, somebody would say why you need to
13 do more of this research and thanks to some, you know,
14 developments and, of course, to dynamic nature of
15 diesel emissions, we always have something to do. But
16 the arguments are the following. You know, diesel is,
17 as you know, very vitally used in underground mining
18 industry, and we have still, you know, almost every
19 miner in metal/non-metal and a number of those in the
20 coal mining industry chained basically to the diesel
21 piece of equipment. There's no movement around the
22 mines. There's no work done without diesel. So,
23 basically, it will remain as a major, you know, mule
24 for the mining industry.

25 And then, of course, unfortunately, diesel

1 exposure to diesel aerosols and gases are linked to
2 the various health outcomes. You know, most of us are
3 talking about lung and, I mean, pulmonary effects, but
4 there's cardiovascular, there's cognitive, there are,
5 you know, all kinds of effects diesel can cause, and
6 we need to continue working on it.

7 The other important aspect, which actually
8 flew by, you know, in the years now is an announcement
9 from International Agency for Research on Cancer,
10 IARC, in 2012 that diesel is basically carcinogen and
11 that kind of should have a much stronger, I would say,
12 effect on how we're treating this problem because by
13 that time, it was suspected carcinogen, but now we
14 have confirmation that it's definitely carcinogen. As
15 a carcinogen material, you know, just to remind those
16 who are not industrial hygienists, we don't have
17 really safe levels of being exposed to, so it needs a
18 little bit different attention.

19 And then, of course, diesel engine
20 technology is advancing very rapidly and we are living
21 at the age where that dynamics of advancement is very,
22 you know, accelerated. In a sense, we have seen more
23 advancement in diesel technology in the past couple
24 years than we had in previous decades, and reason for
25 that is we have to actually tap on that and actually

1 benefit from that.

2 And then, of course, something what I need
3 to remind you guys is that current regulations are
4 visibility-based regulations. So, basically, if our
5 technology is advancing, we can discuss issues like we
6 discussed previously about can we lower the standard.
7 Of course, if we have technology and if mining
8 industry actually accepted technology and implemented,
9 then we can talk about lowering, but that has to be
10 accomplished basically.

11 Let me talk first about what actually made
12 us think about these specifically targeting certain
13 occupations. I looked through MSHA, I mean, thanks to
14 you guys, we have some information on exposures of
15 underground miners that's pretty hard to come by
16 because, you know, even your database on the DPM is
17 relatively, I would say, limited compared, for
18 example, to dust sampling. Very few samples are
19 collected. But you can still draw some general
20 conclusions about the trends in the mining industry.

21 And for those of you who are not real
22 familiar with the DPM sampling, three types of samples
23 were collected in underground metal/non-metal mines
24 and they are under Contaminant Code (CD) 560, 561, and
25 562. Two first codes are compliant samples. The one

1 on 562 is noncompliant samples, which is ambient
2 sampling used to establish this ratio. We analyze all
3 that data, and I think Monique also is going talk more
4 about, you know, trends, but I'm going just to grab
5 some aspects of that.

6 And then, you know, you have to understand
7 that this is not random samples collected. This is
8 something what, you know, inspectors do on their
9 discretion. And then, typically, they're trying to
10 target those which are the, you know, potentially
11 expose the highest concentrations.

12 What bothers me to some extent is that we
13 have all this information for metal/non-metal mines,
14 but we don't have any information what all coal miners
15 are exposed to. And I think that was written in a law
16 basically, that we should not sample in the coal
17 mines. Some hypothesis were introduced when
18 regulations were introduced that controlling DPM
19 emission at the source is going to help reducing
20 exposures. But I still believe as a researcher that
21 we should verify that.

22 There's very limited data available around
23 the world, and probably one of the largest sets is now
24 from northwestern Australia and a recently published
25 paper by Peters, et al. So, basically, MSHA collects

1 about 50 -- 500 -- 460 to 560 samples a year. I
2 looked through a period between 2012 and 2016. And,
3 basically, on the left-hand side graph, it's showing
4 basically spread of that data. When you do averaging,
5 you know, and I think statistically it might not be
6 kosher, but you can do averaging and you'll see that
7 these trends are showing, as probably MSHA on the
8 website is also showing, that we have this trend where
9 TC and EC concentrations are continuously dropping
10 ever since regulations were introduced. And dramatic
11 drop occurred after 160 micrograms per meter cubed
12 level was established.

13 On the right-hand side graph, you can see
14 that averages for industry. And we are talking about
15 averaging over 500 whatever samples were collected per
16 year. And, you know, we're below 123 micrograms per
17 meter cubed what is basically of EC, what is
18 equivalent to 160 micrograms per meter cubed. So,
19 basically, if you talk about motivation of a general
20 industry, what we need to do more to be in compliance,
21 they don't need to do much more. They're already
22 there.

23 But there is something to consider that, you
24 know, about 18 to 28 percent of 560, that mean
25 elemental carbon samples, are exceeding concentrations

1 of 123 micrograms per meter cubed. That mean that in
2 this period, as you can see on right-hand graph, we
3 have pretty high concentration -- high percentages of,
4 you know, these overexposures basically, all
5 concentrations over 160 micrograms per meter cubed to
6 be explicit.

7 You know, Monique is going to talk little
8 bit in different terms all because about compliance
9 about 160 EC, so numbers are going to be a little bit
10 different. But even if you're talking about 10 or
11 15 percent or 20, 25 percent of accedence, we still
12 have something to do about those people. And, you
13 know, it's important to notice when you analyze this
14 for occupation. You will find that certain
15 occupations definitely are exposed more than the
16 others, and the reason for that is, for example, when
17 we looked for 2015 and 2016, we found, for example,
18 that 30 percent in 2016 of all the samples on the
19 blasters showed concentrations above 160 micrograms of
20 elemental carbon.

21 That mean, you know, that's a pretty good
22 chance that if you're blasted that you're overexposed.

23 That's a broad -- it's not that bad for truck drivers
24 and, you know, some other occupations, but where you
25 have, you know, about 5 to 10 percent chance that

1 you'll be exposed. But for the blasters or some
2 scalars and some other occupations, there's a pretty
3 fat chance that you're overexposed.

4 So, in summary, you know, we have seen
5 positive trends. You know, our exposures in
6 underground mines since 2001 are dropping, and we can
7 still, you know, be proud of the work we did to do
8 that, and industry can be proud of achieving these
9 goals. So although these averages of below PELs,
10 relatively large fraction of the observed samples
11 still indicate overexposures. Overexposures were more
12 frequent for some occupations than for the others,
13 and, therefore, it transpires that additional
14 solutions specific to the operations and occupations
15 are needed to protect all occupations.

16 So let's talk about how we are going to
17 achieve this. An objective is to help industry to
18 reduce DPM exposures of critically affected
19 occupations. And we'll need to solicit participation
20 from industry because, again, as NIOSH is a
21 government, we have no really direct access to the
22 workers. So we need to find willing partners in our
23 industry which are going to help us to assess first
24 what these people are exposed to.

25 And then, of course, we are hoping that

1 through these types of venues, including this
2 partnership or MSHRAC or mining associations like NMA,
3 IMA, or NSSGA, we can get access to these mines. And
4 then, of course, we are doing some direct contacts
5 with mining companies, which we worked with in the
6 past and we are hoping to work with in the future.

7 And then we would go to a site like that to
8 establish monitoring practice there, because, again,
9 you know, MSHA is capable of collecting a limited
10 number of the samples for a short period of times. We
11 would like to expand to do real evaluation,
12 statistically significant evaluation of exposure of
13 certain specific occupations.

14 And then we will actually have to mount,
15 basically, a study where we would bring sophisticated
16 instrumentation and characterize aerosols and gases in
17 that environment. So, basically, we can basically
18 formulate our solutions. And then, basically, we will
19 find or hopefully find solutions. We'll use an array
20 of multi-faceted engineering and administrative
21 workplace solutions. And we'll apply that, and
22 eventually we have to re-evaluate the situation and
23 see how effective those solutions are.

24 And then, of course, we are hoping that
25 industry would benefit with these novel technologies

1 and workplace strategies and we'll be able to reduce
2 exposures of these specific occupations, and we're
3 talking about drill operators, front-end loaders,
4 blasters, whoever we identify as highly exposed
5 occupations. And as a usual way, we are going to
6 produce and disseminate this information through
7 partners and wider mining industry.

8 The second effort would be trying to
9 characterize emissions from advanced engine
10 technologies. I mean, MSHA does and can, for example,
11 do evaluate engine technologies. They do
12 certification. Certification, of course, has a
13 limited scope. We would like to do a little bit more
14 in-depth evaluation of these control technologies
15 where we would basically try to understand what are
16 their actual characteristics beside what is
17 certification data telling.

18 Last year, I did a little bit of analysis,
19 we did, actually, a little bit of analysis on
20 underground mine diesel inventory. MSHA has a great
21 database of all diesel-powered equipment in coal
22 mines. Unfortunately, we don't have anything on
23 metal/non-metal mines, but we can draw some
24 conclusions. And what we found, that, you know,
25 state-of-art now in underground coal mining industry

1 is not much different than one in beginning of this,
2 you know, century.

3 There's still a lot of Tier 3, Tier 2 and 3
4 Tier engines, particularly in, you know, permissible
5 heavy-duty and non-permissible heavy-duty arena. And
6 then, of course, probably very few engines were
7 purchased since mid 2000s. Only 54 of 1,253 non-
8 permissible, heavy-duty, vehicles powered by engines
9 approved after 2010. That's not number showing that
10 industry is doing great effort in replacing diesel
11 engines in underground coal mines.

12 And then, of course, we heard, I think in
13 the comments, and might be in mine, you know, I don't
14 know, .5 percent of all engines, non-permissible,
15 light-duty, vehicles are currently powered by engines
16 that meet Tier 4 standards. And we are talking only
17 about very minuscule amount of very small engines, and
18 most of those are less than 25 horsepower.

19 So, basically, what I think we don't see is
20 that quick replacement of technology, diesel
21 technology in underground mines. And reason, you
22 know, why I'm mentioning that, because all the
23 regulations -- both regulations, metal/non-metal and
24 coal mines were introduced under assumption that over
25 the time, diesel-powered -- diesel engines -- older

1 technology diesel engines will be expunged from
2 industry and replaced with modern engines. That's
3 little bit on a slow pace according to the analysis I
4 have seen.

5 So, basically, we have diesel engines which
6 are very durable, reliable and they can be rebuilt
7 also. So, basically, we have, you know,
8 unfortunately, you know, we haven't seen too many
9 advance -- too much of advancement in diesel
10 technology ever since we introduced regulations.

11 So slow penetration of advanced engine with
12 extremely low particulate emissions. Now I mean Tier
13 4 final engines emit like 99 percent less particulate
14 metal than the engines we discussed in 2001. And so,
15 basically, we have the -- if we don't start
16 introducing these engines, we are not going to see
17 earth-shaking changes in the exposures.

18 So, basically, what we are planning to do
19 about this is first to help industry to facilitate
20 selection and introduction of new, viable engines in
21 underground mining industry. Same as with DPFs. You
22 know, we tried to show which of the products are
23 better than the others. And the same with engines.
24 Not all the engines are created as equally. Not all
25 the engines which are even currently approved by MSHA

1 or CANMET are not producing the same effect on the
2 reduction of the emissions. So, basically, by trying
3 to point which type of technologies are, you know,
4 better than the others, we will try to help industry
5 to guide them to introducing better products in
6 underground mining industry.

7 And then, of course, this type of
8 intervention would benefit anybody and anybody, you
9 know, who is exposed to DPM because, you know,
10 controlling emission at the source actually helps
11 everybody. And then, of course, we want to prevent
12 potential introduction of the engines which, you know,
13 introduce new, unwanted emissions. We have seen that
14 with the catalyzed diesel particulate filters when we
15 saw sudden spike in NO₂ emissions. We have seen that
16 with the platinum catalyzed DOCs.

17 So, basically, you know, we need to weed out
18 those products which are not suitable for underground
19 mining industry. We are planning at least for now, we
20 have two engines in scope to test and they kind of
21 spend what currently industry is doing in the heavy-
22 duty and light-duty arena. And we are planning to
23 test here for final engine, which is using SCR-based
24 solutions, so there's no DPF on it. And those type of
25 solutions are more palatable for the mining industry

1 because DPFs are still relatively difficult to operate
2 in difficult environments like underground
3 environment.

4 And then, on light-duty, we would like to
5 test engines which are equipped with DOC and DPFs just
6 to show that some of the Tier 4 final engines which
7 are currently coming on the market which do not have
8 those control strategies are not really that clean.
9 So the evaluation would take place in the NIOSH PMRD
10 diesel laboratory. And on the right-hand side, you
11 have two pictures of it.

12 The engine will be operated at selected
13 steady state in transient conditions. Detailed
14 characterization of regulated and unregulated
15 emissions will be produced. And special attention
16 will be given to potential generation of undesired
17 secondary emissions, like NO₂, N₂O, nucleation mode
18 aerosols, metallic aerosols, and other pollutants.

19 So then, if we successfully find engines
20 which can be implemented and we find partners in
21 industry, we would like to put same engines or similar
22 engines in underground environment and test those in
23 isolated zone or even directly in a production
24 scenario. And then, as usual, we would publish this
25 in peer-reviewed journals, conferences, and workshops

1 and disseminate information to the parties.

2 Specific aim three is dealing with trying to
3 introduce novel technology, how to control exposure of
4 certain occupations because we notice with -- and we
5 evaluated, basically, canopy air curtains at our place
6 at NIOSH PMRD, and we looked to that as a control
7 strategy for dust. And it showed that it can reduce,
8 effectively, dust concentrations. Of course, we know
9 from experience with enclosures with cabs that,
10 basically, filtration systems which are typically used
11 on cabs to control dust exposures are not efficient in
12 controlling DPM exposures.

13 So what we would like to try is to evaluate
14 this technology, improve it, develop it and improve
15 performance to provide better protection from DPM. We
16 see this as a potential of this as a control strategy
17 for some, you know, occupations like scalers or
18 somebody who is, you know, say metal on those coal
19 mine outside of the environmental enclosure and cannot
20 be put in environmental closure, but it can -- it has
21 some workspace where we can form this canopy air
22 curtain.

23 And then, of course, we are hoping that some
24 FERC bodies will develop this technology, and we are
25 probably going to fund some of those efforts under

1 contract. And then, eventually, we are hoping for
2 good products which we can go and evaluate and
3 basically present to the industry.

4 Environmental enclosures are extensively
5 used by a number of the mines to control not only
6 exposures to DPM but also to the elements, noise,
7 dust. So they are pretty popular, so, you know, our
8 group of researchers from our place studied the role
9 of these particularly protecting workers from exposure
10 to dust and diesel, and we found that certain
11 improvements could be done to these enclosures to make
12 them suitable for protecting underground miners from
13 DPM.

14 So primarily, you know, filtration system
15 would need to be upgraded. We need also to work on
16 better pressurization of the cabs and preventing
17 leaks. And then, of course, education of the
18 operators to prevent -- to actually maximize benefits
19 of enclosing them in the cabs.

20 We did some studies, and usually what happen
21 when you go in a mine, you find that you have a
22 perfectly built cab, you know, with a HEPA filter on
23 it which is 99.99 percent efficient. And then, when
24 you look through the, you know, whole process, you'll
25 find that those cabs do not really provide that type

1 of protection. You know, protections are much lower
2 than somebody would mathematically expect to be there.

3 So, basically, we need to work on that
4 because, I mean, some of the reasons are that people
5 are not really taking full advantage of those cabs.
6 There's a lot of openings on the cabs which are
7 unnecessarily open and provide leak points and
8 penetration of the dust, and the DPM occurs there.
9 And then, of course, just behavioral issues. So,
10 basically, we have to work on those to improve them.

11 So specific aim will be executed in a
12 partnership with OEMs and aftermarket filtration and
13 pressurization companies because we want to find
14 solutions for the existing cabs because there are a
15 large number of existing cabs which are not suitable
16 really to provide any protection to DPM. And then, of
17 course, we need to work on defining what the brand new
18 cab which is supposed to protect miners from DPMs
19 should constitute.

20 So not all environmental enclosures with
21 adequate filtration and pressurization systems will be
22 evaluated in the field and eventually implemented with
23 help from industry partners interested in deployment
24 of such technology. The effectiveness of enclosures
25 in reducing exposure of operators to diesel and other

1 aerosols will be tested in an underground environment
2 in cooperation with industry partners. And then, of
3 course, findings will be disseminated to the partners.

4 And about disposable filter elements, that's
5 something what we are wrestling for a long period of
6 time. DPFs, basically, are the workhorse of, you
7 know, coal mining industry. All the permissible,
8 heavy-duty, vehicles and substantial fraction on non-
9 permissible, heavy-duty, vehicles and small fraction
10 even of light-duty vehicles, those primarily retired
11 heavy-duty vehicles, which are turned into light-duty
12 vehicles, are equipped with DFES.

13 So, basically, this is technology which is
14 very critical to the controlling DPM in underground
15 coal mines. You know, that's the technology which in
16 the 1990s was, you know, early 1990s was introduced by
17 U.S. Bureau of Mines and basically allowed controlling
18 DPM emissions from heavy-duty pieces of equipment
19 below 2.5 grams per hour.

20 And, you know, in all our testing, we found
21 that HDDFES with accumulated DPM in them are very
22 effective. You know, we know that those filters can
23 reach, you know, even 99 percent efficiency and that
24 they recognizes that. The only problem is, in a
25 number of the studies we conducted and surveys, is we

1 see continuously that the products -- certain products
2 which within, you know, at some point that might have
3 some deficiency. They're still, you know, dominating
4 industry and they're still used, I guess, and reason
5 is probably economics because, you know, a lot of
6 mining companies are already agitated at the fact that
7 they have to pay these DFEs whatever they have to pay.

8 And then there are more expensive, better
9 products, but it's very hard to decide why they should
10 pursue those. So, basically, we noticed that a couple
11 issues of gassing process during the heating up, first
12 initial heating up of the filter, you know, a large
13 concentration of aerosols happen in the ambient air.
14 And then also we noticed that efficiency of these
15 filters at very beginning when they, you know, don't
16 have any DPM collected on them and over the extended
17 period of time, you know, you're talking about first
18 couple hours of operation, are not as stellar as they
19 are in the later hours of that. So, basically, you
20 know, this was recognized, and I know that in
21 Australia, people looked into this and there are
22 products already which claim that you can have this
23 efficiency from very first moment of putting the
24 filter on the vehicle.

25 So how we would do this. Work would be done

1 at PMRD diesel laboratory and we'll evaluate
2 effectiveness of these selected DPF systems. We will
3 benchmark them against existing products just to
4 demonstrate, you know, differences, what new products
5 can do. And we will work also with some of these
6 manufacturers to develop better products. And then,
7 of course, we are hoping to put this technology in
8 some metal/non-metal mines because we have limitation
9 how much evaluation we can do in coal mines. But,
10 luckily, there are gassy mines in this country which
11 use similar technology, and we can introduce this
12 technology in those mines and try to demonstrate that
13 also to underground coal mining industry.

14 And then, of course, you know, we have to
15 make this technology better and that's our goal.
16 Again, you know, all the information will be shared
17 with industry and with definitely partners.

18 So what we are doing currently, and I think
19 this is part of that effort, is we are looking for
20 partners. We are looking for the comments,
21 suggestions and ideas, you know. This is, you know,
22 something what is in the making, and we would really
23 appreciate if you have better insight in some of these
24 issues, and if you can feed us with information, we
25 are more than open to accept any suggestions.

1 So that would conclude my presentation and,
2 you know, yeah. This is a nice DPM coming out of the
3 diesel-powered truck which is trying to break
4 200 miles per hour speed limit at Salt Flats. So,
5 yeah, I use this slide often to show that performance
6 doesn't equate to the low emissions.

7 MR. MONINGER: Does anybody have any
8 questions?

9 (No response.)

10 MR. MONINGER: Is there any questions on the
11 phone?

12 FEMALE VOICE: If you would like to ask a
13 question, please press star one on the phone and
14 record your name. One moment, please.

15 (Pause.)

16 FEMALE VOICE: I show no questions at this
17 time.

18 MR. MONINGER: All right. Thank you.

19 MR. BUGARSKI: Thank you. Thank you.

20 (Applause.)

21 MR. MONINGER: Next up, we got Link Bowers.

22 MR. BOWERS: Thank you. Hello, everyone.
23 My name is Link Bowers. I'm with the MSHA Technical
24 Support in Pittsburgh, PA. I work in the
25 Environmental Assessment and Contaminants Control

1 Branch, otherwise known as the dust field group.
2 Today I'll be talking about control strategies; the
3 effectiveness of diesel particulate matter exposure
4 controls: ventilation, environmental cabs, and
5 administrative controls; and emission reductions.

6 First of all, on control strategies, DPM
7 reduction depends on exposure controls and emission
8 reduction. Your exposure controls are ventilation,
9 environmental cabs, and administrative controls.
10 Emission reduction depends on the diesel engines,
11 which is your source, engine maintenance, biodiesel
12 fuel, and after-treatments. And one thing to keep in
13 mind is almost all mines will require a combination of
14 these controls to obtain compliance. So it's the
15 suite of controls to help you out.

16 As far as the effectiveness of DPM exposure
17 controls go, ventilation would depend on the nature to
18 upgrade, whether it be increasing your air or fan or
19 maybe even just tightening up your ventilation
20 controls. And improvement will be roughly
21 proportional to the increase in your air flow
22 increase. Environmental cabs can give up to
23 80 percent reduction, so 80 micrograms per cubic meter
24 we have seen reduced to 160 inside a properly
25 maintained and sealed cab. The only problem with cabs

1 is some people's job requires them not to work in the
2 cab, so they can't use them for that condition.

3 And then the third one is administrative
4 controls, which are defined as specified changes in
5 the way work tasks are performed that reduce or
6 eliminate the hazard. One example is restricting the
7 amount of diesel-powered equipment and total engine
8 horsepower operating in a given area so that you bowl
9 over, tax your ventilation system that's in place.

10 Now on to a little bit more detail about
11 ventilation. Your DPM reduction is basically
12 proportional to air flow. So, if you double your air
13 flow, you're going to cut your DPM in half. So you'll
14 have a reduction in your DPM. Increasing the
15 ventilation, though, can be costly, especially if you
16 use major upgrades.

17 But sometimes you can just change the
18 conditions in the mine or your ventilation controls to
19 make your ventilation system more efficient. But if
20 you were just increasing power itself, when you
21 increase the airflow by 25 percent, you're going to
22 double your cost. And if you increase your air flow
23 by two, you're going to have eight times your
24 electricity cost. But usually, you can just make your
25 system that's in place more efficient is the best way.

1 Place your fans in the right positions, advance your
2 tubings, make sure that you have everything the way it
3 should be.

4 One factor for diesel engines is called the
5 Particulate Index, which is defined as the air flow
6 quantity needed to dilute DPM emissions to
7 1,000 micrograms per cubic meter of diesel particulate
8 matter. So, for example, if your PI for one engine is
9 1,000, then if you double the PI, you're going to cut
10 it half. And if you take it by five, you're going to
11 divide it by five. So, if you increase your air flow,
12 you're going to basically cut down on your diesel
13 particulate emissions. And we have the listing of the
14 PIs for each engine on this website at the bottom of
15 the screen.

16 And just as an example, if you had two
17 engines, one's basically -- they're both 150
18 horsepower engines, one's a Tier 1, one's a Tier 3,
19 and the PI for the first engine's 23,000 CFM, the PI
20 for the second engine is 4,000 CFM, as you can see, to
21 get to your 160 DPM concentration, you're going to
22 have to have 115,000 CFM for the Tier 1 engine, as
23 opposed to 20,000 CFM for the Tier 3 engine.

24 And while boosting your airflow is a good
25 start, you also need to direct where the air is going

1 with wall stopping doors, et cetera. And you also
2 want to make sure that you don't have re-circulation
3 or short circuits and that you ensure that your air
4 reaches the working areas and faces of the mine.

5 In the ventilations system layouts, you want
6 to try avoid adjacent intake and exhaust openings so
7 you don't have re-circulation. You want clean air to
8 come in, pick up the diesel particulate and move it
9 on. You don't want re-circulation, or the
10 concentration will just keep on going up throughout
11 the day because you're not sweeping the air out.

12 And then, for distributing air underground,
13 auxiliary fans and ducts, rigid or flexible, for
14 development ends. You need your end one to be on
15 fresh air and you want to maintain your duct work,
16 make sure it's advanced to where you need it to be.
17 Plus, make sure it doesn't have leakage. Maintenance
18 is a big thing on some of these mines to keep up.

19 And you also, if you're using free-standing
20 fans without tubing, you want to make sure they're
21 properly placed so that you move the air where you
22 want it to go to sweep across and move your diesel on.
23 And also, in some mines, make sure your brattice lines
24 are properly maintained so you're moving the air where
25 you want it to move. And here's an example of a free-

1 standing fan. You want to make sure to set up where
2 it's going to sweep over the operator and back out.
3 So the angle off the rib and fan placement are
4 critical parameters for a free-standing fan.

5 And on an auxiliary fan that has duct work,
6 you can bring the duct work up closer to the miner
7 where it's needed. And your critical parameters are
8 your fan placement, your fan horsepower, the duct
9 length and diameter. Duct bends, corners and leakage
10 also come into effect when you're calculating what
11 size fan you may need. And also natural ventilation.

12 So mostly metal/non-metal use natural ventilation and
13 it's impacted by differences in air density and
14 elevation. That's what drives the flow. And it's
15 most significant in mines with limited mechanical
16 ventilation pressure and large differences in
17 elevation. And with natural ventilation, you can have
18 air reversals possible because of just natural
19 conditions there at the time.

20 And another way to reduce ventilation is
21 to -- I mean to reduce DPM emissions is to use
22 environmental cabs, and they help silica, DPM and
23 other dust exposures, but they also can help with
24 noise exposure reductions. And some things to
25 consider when you're looking at environmental cabs is

1 you want them to be tightly sealed with no openings.
2 If you have something broken, you want to maintain,
3 like a window, you need to fix it when it gets broken
4 or seals on the doors.

5 You want to make sure it's pressurized with
6 filtered breathing air, and usually the change-out
7 schedule for those filters is about 250 CFM, I mean
8 250 hours, and you want to basically design them for
9 one air change per minute. So, if you have a 100
10 square foot cab, cubic foot cab, you want a 100 CFM
11 fan to do that change-out. And you also want to make
12 sure they're being operated with the windows and doors
13 closed because, if you have the windows and doors
14 open, you're basically negating the use of the
15 environmental cab. And you also just want to make
16 sure they're maintained in good condition.

17 One way that we test a cab for positive
18 pressures is we will close all the doors and windows
19 in the cab, turn on the A/C fan blowers that's pulling
20 the air out so it's pressurizing the cab. Then we'll
21 take a Magnehelic Gage and attach flexible tubing to
22 it, open up the door on the cab, and then close the
23 door to make sure that the hose doesn't pinch so you
24 can see the differential pressure. We'll usually use
25 a half inch mag to do that with, and we want to see

1 about a .1 inch water gauge or more pressure
2 differentials that show that air can't infiltrate the
3 cab. You have positive pressure trying to keep the
4 air outside out.

5 And another set of controls are
6 administrative controls, and that's controlled DPM
7 exposures through operating procedures and work
8 practices. And some examples of those are minimize
9 engine idling and lugging so you're not making DPM
10 that you don't need to. You want to keep your fuel
11 and lube oil clean. That'll help DPM emissions go
12 down. And if you can, utilize traffic control and
13 production scheduling so you can keep heavy traffic
14 downstream from miners who work outside of cabs. Like
15 your powder crew, since they're not protected by a
16 cab, usually it would be good if you can schedule
17 where they're not getting the exhaust from other
18 equipment going by if you can. And route haul trucks
19 in return air is another one that you can do.

20 And also schedule blasters on non-load haul
21 shifts so that they could be working when there isn't
22 as much diesel haulage going, but that just depends on
23 the mine itself and its mining cycle. And also limit
24 the horsepower in the area based on available CFMs so
25 you don't stress the ventilation system for helping

1 dilute the DPM. And also to keep cabs and doors and
2 windows closed on environmental cabs so that they're
3 doing what they should be doing, protecting the miner.

4 And emission reductions, this is basically
5 reducing the amount of emissions coming from the
6 engine itself, so the source -- now you're looking at
7 the source instead of trying to protect somebody from
8 what's being produced. Now you're trying to just
9 reduce what is being produced as far as diesel
10 particulate matter. And some of the ways our newer
11 engines produce lower DPM, diesel particulate filters
12 can be used to remove DPM. Alternative fuels like
13 biodiesel can be used to reduce DPM emissions. And
14 maintenance programs to ensure that what you're doing
15 is staying properly maintained and working properly.

16 Here's an example of a newer engine compared
17 to some of the older Tier engines over the past few
18 years. Of course, newer Tier engines produce lower
19 DPM emissions, and this example of engines that are in
20 the 175 to 300 horsepower class, in 1996, a Tier 1
21 engine would produce about .54 grams per kilowatt hour
22 of DPM. The Tier 2 and 3s are similar for DPM
23 emissions and they would be at .2 grams per kilowatt
24 hour. And then, as you can see, in 2011, when the
25 Tier 4s are coming out, that you're down to .024, I

1 mean .02 grams per kilowatt hour, which is 27 times
2 less than a Tier 1 from just several years before. So
3 you can see the reduction over the course from '96 to
4 2011 of what's available. But, of course, you also
5 have to consider the financial cost and if you're
6 going to buy a new piece of equipment, you can keep
7 that in mind.

8 And another way to reduce emissions of
9 diesel particulate is using diesel particulate
10 filters, and there are several types. You have throw
11 away paper filters, and then you have other filters
12 that can be regenerated, which means cleaning off the
13 diesel particulate matter either passively, which
14 means it does it itself, or you have to actually
15 physically go in and do it. And you have passive
16 regenerative ceramic filters and they self regenerate
17 based on duty cycle. Active regenerative ceramic
18 filters, they need a regeneration station, so you've
19 got to take that into consideration that you're taking
20 off and the time to put it on something, clean it and
21 then put it back on. So different mines, some are
22 more suited than others depending on their mining
23 cycle.

24 You also have a fuel burner with ceramic
25 filter, and that one creates a temperature as in a

1 passive type system. You have sintered metal fiber
2 filters, which actually use electrical heating on
3 board for onboard regeneration. Then you have
4 disposable paper filters. But the paper filters, you
5 have to have a cooled exhaust in order to use those
6 because they can burn if they get to too high of a
7 temperature. And then you have a high temperature
8 disposable filter and its filter life is based on the
9 duty cycle and operating time. And we actually have a
10 MSHA filter listing also on our website and it's
11 located below.

12 And another is biodiesel fuel blends is
13 another way to reduce DPM emissions from an engine.
14 And biodiesel is a registered fuel with the EPA. It's
15 a fuel additive -- has fuel additives added in. It
16 has ultra-low sulfur diesel fuel. It is made and
17 dried from vegetable oils and animal fats. And
18 sometimes it's blended with standard petroleum based
19 diesel. So sometimes you'll have a B20, which is a
20 20/80 mix, or you'll have a B10, which is a 10/90 mix,
21 different mixes, and they significantly lower your
22 elemental carbon emissions. Just that some people
23 have also seen NO_x's go up with using it, so you've got
24 to be aware of that when you are thinking about using
25 that.

1 And if you transition from standard
2 petroleum to a biodiesel product or a high biodiesel
3 blend, you have to consider cost, the quality and
4 availability, its low temperature properties because
5 some of them will gel up earlier than they would with
6 normal diesel, solvent effects on some of your
7 equipment. There may be some scrubbers that it'll
8 react with that regular diesel wouldn't. And
9 microbial growth, that means bacteria can actually
10 grow in the biodiesel, so usually they'll put an
11 additive in for that than it would in a normal diesel.
12 So that's your long-term storage stability also.

13 Energy content usually doesn't have as high
14 of a energy content so you're going to use more
15 gallons of biodiesel than you would with regular
16 diesel in some cases. And also, maybe your oil change
17 intervals may go down because of using biodiesel.
18 And, basically, you had the three exposure controls
19 that you need and four emission production controls,
20 which are your, for the exposure controls, the
21 ventilation, environmental cabs, and administrative
22 controls, and your emission reduction or the type of
23 diesel engine you're using, the engine maintenance,
24 your biodiesel fuel and your after-treatments, which
25 are your filters. And usually you're going to have to

1 use a combination of these seven things to get in
2 compliance.

3 We have a diesel particulate single source
4 page and it's located here. And these should be up on
5 the website, I think, sometime -- all these
6 presentations, so you can pull the links from there.
7 And also, if you have any questions, feel free to
8 contact me. Here's my contact information and phone
9 number, and my group would be glad to come out and
10 help and try to help you out with your problems. And
11 that's it. Thank you.

12 MR. MONINGER: Does anybody have any
13 questions?

14 (No response.)

15 MR. MONINGER: Open the phone line.

16 FEMALE VOICE: If you would like to ask a
17 question, please press star one on your phone and
18 record your name. One moment, please.

19 (Pause.)

20 FEMALE VOICE: We show no questions at this
21 time.

22 MR. BOWERS: Thank you.

23 MR. ANGEL: Next will be Jeff.

24 (Applause.)

25 MR. MONINGER: Okay. I'm Jeff Moninger.

1 I'm here from the Mechanical Safety Division, the
2 Approval and Certification Center. I'm just going to
3 talk briefly here on the culprit for the diesel
4 particulate matter being the diesel engines.

5 Just quick background, MSHA regulates diesel
6 engines differently in underground mining for coal
7 mines. Underground coal mines must use an MSHA
8 approved engine, Part 7. And in addition to that, the
9 engines also must meet the Part 72 health standards
10 for the diesel particulate matter. Underground
11 metal/non-metal mines have the option, they can use a
12 Part 7 MSHA approved engine or they can use an engine
13 that meets the particulate matter in Table 57.5067-1,
14 which is basically a Tier 1 or Tier 2 DPM limit for
15 the engines depending on the horsepower.

16 What's an MSHA approved diesel engine? MSHA
17 approves diesels underground into two categories,
18 Category A being used in the gassy areas of the mine
19 or permissible areas, Category B engines being outby
20 or all the other areas. A listing of the engines for
21 Category A and Category B are available on our
22 website. You can go under this link or through the
23 support and resources equipment Approval and
24 Certification Center and then the Approved Diesel
25 Engines.

1 DPM emission limits for underground coal
2 mines dates back to the health standard, Part 72,
3 require permissible equipment and heavy-duty equipment
4 be limited to 2 and a half grams an hour. Basically,
5 that means a diesel engine underground, as everyone's
6 talked about, would have to be filtered to get down to
7 that 2 and a half grams an hour limit. Light-duty
8 equipment is limited to 5 grams an hour or it can meet
9 the table listed in Part 72.502, which is a DPM limit
10 based on Tier 2 engines. So, if you have a Tier 2
11 engine, Tier 3 or Tier 4, it's going to exceed that
12 and be okay to use, along with being Part 7 approved.

13 New technology diesel engines include
14 exhaust after-treatment devices to reduce tailpipe
15 emissions. By this, I'm talking your Tier 4 engines.
16 Basically, they use either a diesel particulate filter
17 that usually incorporates a diesel oxidation catalyst
18 and some EGR or exhaust gas re-circulation with the
19 engine to help lower the DPM. Or the other system
20 used frequently is a selective catalytic redemption
21 system, which injects diesel exhaust fluid or urea
22 into the exhaust stream to help lower the NO_x
23 emissions.

24 This is a quick example of some diesel
25 engines that MSHA has approved. The first one up

1 here, I'm trying to show a 185 horsepower engine at
2 2200 RPMs. The first engine up here, a Category B,
3 emits about .22 grams of horsepower hour, which
4 exceeds the Tier 2 limit for that horsepower rating,
5 which would be .15 grams of horsepower hour. However,
6 we have some of those engines approved for Category A
7 use basically using a -- going through a dry system
8 technology or dry system scrubber, basically, a
9 radiator to cool the exhaust and then the exhaust is
10 then filtered.

11 So, with a diesel particulate filter, the
12 DPM is lowered to about .009 grams per horsepower
13 hour, you know, exceeding or being below what the Tier
14 4 limit is for that, which is like .015. Also, we
15 have a similar system that incorporates a diesel
16 particulate filter and a diesel oxidation catalyst,
17 which we believe, based on the calculated values,
18 would drop it down to about .007. So even though, you
19 know, permissible engines, Category A engines may
20 exceed may -- the engine themselves may be, in this
21 case, you know Tier 1, Tier 2 or Tier 3, once you
22 throw a filter on there, you're going to reduce the
23 DPM and lower it below the Tier 4 limits.

24 This is just another example. This is a
25 straight Category B engine showing at 200 -- this one

1 didn't quite turn out as well because the Category B
2 engine's a 215 horsepower 2200 RPMs. It's .13 grams
3 per horsepower hour engine, which is, basically, it's
4 either a Tier 2 or Tier 3 engine, but we have a
5 similar engine approved under Tier 4 using diesel --
6 which incorporates a diesel particulate filter and a
7 diesel oxidation catalyst. DPM goes down to about
8 .010 grams per horsepower hour.

9 Similarly, the same horsepower rating, 200
10 horsepower, we have a system that incorporates the
11 diesel exhaust fluid, which injects the urea into the
12 exhaust, also comes out with the same number for the
13 DPM of .010. I'll point out these Category B engines
14 on this slide are all actual values from the test
15 data. The Category A engines are usually more based
16 on calculated data on what we expect the particulate
17 filters to do.

18 New technology diesel engines are available
19 for metal/non-metal mines in pretty great numbers.
20 Simply, as I stated before, because they're not
21 confined to using a MSHA approved engine, they can
22 just use any engine that's going to meet the health
23 table out there, which is limited to Tier 1 and Tier
24 2. So, if you have a Tier 4 engine, you can buy it
25 and bring it in.

1 Coal mines are starting to have some newer
2 technology diesel engines available. Unfortunately,
3 it's a limited number just because of what the
4 industry has brought in or diesel engine manufacturers
5 have brought in to be approved. But we are starting
6 to see some of that newer technology brought in for
7 MSHA approved Part 7 engines.

8 Effective controls to reduce DPM emissions,
9 some of what Link was saying, new technology diesel
10 engines produce lower DPM emissions. If you have
11 lower DPM emissions, you have lower **issues**. The
12 diesel particulate filters work to remove the diesel
13 particulate matter. Alternative fuels reduce DPM
14 emissions. Most of the time people think of
15 alternative fuels, they're thinking of biodiesel fuel.
16 The higher concentration of biodiesel fuel you have,
17 the greater reduction you're going to see in total
18 carbon. However, if you're going to use like a B99 or
19 B100 biodiesel fuel, I'd recommend that you use a
20 diesel oxidation catalyst and incorporate that into
21 your system to help remove the organic carbon or
22 organic compounds that you're going to have with the
23 biodiesel.

24 I'll backtrack a little bit, put in here
25 with the Tier 4 EPA, Tier 4 approved diesel engines

1 that incorporate diesel particulate filters and the
2 diesel exhaust fluid, basically, they're coming from
3 the manufacturer with very low DPM, so there's not
4 much, if anything, to be gained by using biodiesel
5 fuel in those type of engines because they already
6 have low DPM. Along with that, we recommend with the
7 Tier 4 diesel engines, if you're going to incorporate
8 fuel additives, even though MSHA's guidelines require
9 it to be EPA certified fuel additives, that you check
10 with the manufacturer to see if it's going to have any
11 alternative effect with the after-treatment system.

12 Moving on to maintenance program ensures
13 methods are working properly. Basically, if you have
14 a maintenance program that measures the diesel
15 emissions when the engine comes in or during its
16 working life, you know how it's being maintained and
17 if you have issues with the engine or increased DPM
18 during that engine's life. Environmental cabs are
19 always, you know, as Link mentioned, a good way to
20 reduce DPM and ventilation. And that wraps up my part
21 of the time. Does anybody have any questions here?

22 (No response.)

23 MR. ANGEL: Any questions on the phone?

24 FEMALE VOICE: If you would like to ask a
25 question, please press star one on your phone and

1 record your name. One moment, please.

2 (Pause.)

3 FEMALE VOICE: We do have one question.

4 Please hold.

5 (Pause.)

6 FEMALE VOICE: Our first question comes from
7 Mr. Raymer. Your line is open.

8 MR. RAYMER: Yeah. I was just wondering if
9 they had done any tests with the fuel additives and
10 some feedback that you can possibly extend some
11 regeneration cycle times and reduce some DPM filter
12 issues by having some additives with the fuels.

13 MR. MONINGER: Yeah, there's been some
14 testing done, more just in general with the fuel
15 additives, but there's never been enough extensive
16 research done to show, you know, one way or the other
17 if they would increase or decrease the life. Again,
18 we do know there's some issues with the Tier 4 engine
19 possibly with fuel additives maybe being a little
20 detrimental to their after-treatment. So that would
21 be, you know, something to look out for, maybe
22 something NIOSH could put on one of the things to look
23 at with their testing.

24 MR. MONINGER: Any other questions?

25 FEMALE VOICE: We show no further questions

1 at this time.

2 MR. MONINGER: All right. With that, I know
3 we're running just a few minutes late, but we'll go
4 ahead and take about a five- or 10-minute break and
5 come back with George Meikle's talk.

6 (Applause.)

7 (Whereupon, a brief recess was taken.)

8 MR. MONINGER: All right. If everybody can
9 sit back down and we can get restarted. Are we back
10 online on the phone?

11 FEMALE VOICE: You are reconnected.

12 MR. MONINGER: Thanks.

13 MR. MEIKLE: Good afternoon, everyone. I'm
14 Greg Meikle. I'm with the Mine Safety and Health
15 Administration Coal Mine Safety and Health, Chief of
16 Health, and I would like to go over a presentation
17 that is to review the information on our coal mine
18 underground diesel inventory. I want to preface,
19 though, before we get to the bulk of the slides,
20 there's a few things I want to say about this
21 presentation. It is a snapshot in time and that time
22 was in May of 2017. At any given time that we would
23 take a look at the information in the diesel
24 inventory, it's a dynamic inventory. By regulation,
25 the mine operators have a seven day time frame to make

1 corrections in that diesel inventory.

2 We also have a couple of other things that
3 need to be kept in mind. The inventory can include
4 errors of input from the mine operators. It could
5 have even errors in the information that was given.
6 We'll talk about some of that that might even show up
7 on this snapshot and our review of the information
8 that is in there.

9 It'll also just be a presentation of the raw
10 numbers. The information in the diesel inventory is
11 not necessarily correlation to exposure to DPM by
12 underground coal miners. And I say that by saying the
13 information of the pieces of equipment does not
14 indicate how that equipment is utilized, how long,
15 where, so the information in there is a potential. We
16 should use that information and be educated to what it
17 represents.

18 Now, you know, the information on multiple
19 slides that I'm going to give today also indicates the
20 equipment's definition, its attributes considering it
21 as a package, including the after-treatment that it
22 was input into the inventory with. So, with that in
23 mind, let us start.

24 Let's look at the diesel particulate or the
25 diesel-powered equipment by state or by district and

1 by the numbers of pieces of equipment. And when you
2 look at this information, the numbers of diesel-
3 powered equipment by far fall into two different
4 districts: District 8 and District 9. And then it is
5 broken down by the numbers in the light-duty, heavy-
6 duty, and permissible categories. We also have a
7 category that we say is a number of other diesel-
8 powered equipment, and other diesel-powered equipment
9 would be equipment that shows up in the inventory, but
10 when considering some of the time lags and other
11 things that we find in the inventory, they really
12 don't fall into a particular category.

13 So we have a mine that is a brand new mine
14 and they're actually developing the mine. They've put
15 together their diesel-powered equipment inventory, but
16 that equipment is not currently underground yet. It
17 shows up in the inventory. We also have mines that go
18 bankrupt that are finished and they're abandoned.
19 There's a number of reasons that mine operators, you
20 know, that time to update the inventory has come and
21 gone or is not expired yet so that that inventory can
22 be corrected. So we have a number of pieces of
23 equipment also that may fall, and you'll see in some
24 of these slides, into shared equipment.

25 And I want to say shared equipment can also

1 be further complicated because I sold you a piece of
2 equipment that I had on my inventory and you have a
3 time frame to update yours, I have a time frame to
4 update mine. So just keep in mind these numbers are
5 good for what they can be utilized for, the potential
6 for exposure to underground coal miners.

7 So we can see by district, when you sort by
8 district, where the equipment in numbers are and how
9 they're being categorized. So the top 10 types of
10 underground diesel-powered equipment, 90 percent of
11 which is represented by 10 different types. Now, in
12 the inventory during this snapshot, we've inventoried
13 36 different types. But the majority of the equipment
14 fall into 10 different types, and you can see
15 personnel carriers far and above all the other
16 categories or different types are the numbers of
17 equipment that we have in underground coal mines.

18 Now, when you take that information and
19 bring it into the types of diesel-powered equipment
20 categorized as light-duty, you can see the personnel
21 carrier again is the highest number of pieces of
22 equipment in underground coal mines. It then
23 potentially would represent the highest number of
24 advances in protections. It may, as I said. And you
25 can see then utility trucks, forklifts. But these

1 five different types represent 91 percent of the
2 light-duty equipment or those that are categorized as
3 light-duty equipment in the diesel-powered inventory.

4 For heavy-duty equipment, this is just
5 heavy-duty equipment, and there's 10 different types
6 of heavy-duty equipment that represent 92 percent of
7 the heavy-duty equipment in the inventory. Load-haul-
8 dumps represent the lion's share of it, but then
9 locomotives and so on and so forth. So, for heavy-
10 duty equipment, we see this sorted by the numbers of
11 equipment we find in the underground coal mines.

12 Permissible equipment, those that were
13 inventoried as permissible. There are five types that
14 represent 92 percent of the diesel equipment in
15 underground coal mines. And, again, load-haul-dump is
16 the largest number of equipment that we have in
17 underground coal mines.

18 Now we want to look at the numbers of mines,
19 with diesel-powered equipment and after-treatments by
20 state. We sort these by the percentage of the diesel-
21 powered equipment with after-treatments, and what you
22 find is those three states that's been previously
23 mentioned in the prior presentations would lead the
24 way. So, in West Virginia, Pennsylvania, and Ohio,
25 they require diesel-powered equipment going

1 underground to have after-treatments. And so we would
2 then expect that those pieces of equipment going in to
3 mines in those states to be compliant.

4 And the numbers in this presentation are,
5 again, from the inventory of May 4, 2017. And if the
6 equipment going into these states should have after-
7 treatments, I'm curious as to why they aren't all 100
8 percent. It gets back to an explanation that before I
9 prefaced this whole presentation about. This is the
10 information that was put into the inventory. Somebody
11 missed a stroke or two or something happened with
12 their computer. I mean, you know, it could have been
13 they thought they sent it and it didn't get there.

14 But again, you know, when we see these by
15 percentages for after-treatments, we see the potential
16 that can be utilized in trying to protect or increase
17 the protections for miners that are working in
18 underground coal mines.

19 When we look at the after-treatment filters
20 on light-duty equipment, we see that, again, the
21 personnel carriers is at the top of the list. And you
22 see what those filters look like, what they're
23 categorized. And so we see, you know, after-treatment
24 manufacturers are unknown. Again, getting back to the
25 input information given by the mine operators, did

1 they know that information and fail to convey that
2 information or some other explanation.

3 We see the light-duty with after-treatment
4 filters and then the -- this is sorted by the light-
5 duty with after-treatment. Now we added that last
6 column to represent those that did not have after-
7 treatment, and that would tell us that light-duty
8 personnel carriers, 1743 didn't have after-treatment.

9 Again, the potential where we might help with
10 protections to underground coal miners given that
11 these pieces of equipment are still in the coal mines
12 and can be utilized maybe just as stringently if you
13 want to call it that or as much as heavy-duty.

14 So we see these things sorted by, you know,
15 light-duty and the different types and what the after-
16 treatment is. These 10 types represent
17 95 percent of all the light-duty that have an after-
18 treatment.

19 Again, with the same ideas, but on heavy-
20 duty equipment, we see the load-haul-dump as that, on
21 the top of the list. There's 12 different types,
22 though, that represent 95 percent of the heavy-duty
23 equipment with after-treatment, and you see how they
24 have been classified and, again, the total number that
25 do not have filters. We would expect that number to

1 be much lower, but, again, there are some problems in
2 the transfer of information in this diesel inventory
3 and the requirements then that are specified in
4 72.520.

5 Permissible. There are six different types
6 that account for 95 percent of the permissible
7 equipment that have after-treatments. Now we see that
8 permissible and ceramic may be somewhat conflicting
9 because, in previous presentations, we said, well,
10 okay, these things, they actually operate at
11 temperatures that wouldn't be conducive to
12 permissibility. Again, the information on this
13 inventory is what has been supplied by mine operators.

14 Now there's a lag in us verifying, getting it cleaned
15 up. So, again, you know, we understand those things.

16 But here, we have permissible, we have with after-
17 treatment, and what classifications of these
18 applications that mine operators are actually
19 utilizing. So we see what works if you use this
20 information and look at it.

21 For the engine manufacturers, we see that
22 Deutz is the number one, and the second leading
23 manufacturer that's being utilized is less than half
24 of what Deutz has got in the underground coal mines.
25 Does that necessarily say anything? I'm not sure.

1 For those of you who know the economics, who know the
2 performance, who know the longevity, all of those
3 different input factors of why that engine
4 manufacturer is being selected would be a good thing
5 to start if you're trying to make an informed
6 decision. And the top 10 manufacturers represent
7 97 percent of the diesel equipment, powered equipment
8 underground in coal mines.

9 So now we want to look at what does the
10 inventory say about heavy-duty diesel engines and how
11 they equate to the diesel particulate and the Tier
12 system that EPA has. Now 90 percent of all engines in
13 heavy-duty diesel-powered equipment meet DPM levels
14 for EPA Tier 4 engines, but that's based upon the
15 package that includes the after-treatment. And we see
16 a Tier 0, and a Tier 0 would represent equipment that
17 really pre-dates the Tier system or before that
18 designation or definition was set forth.

19 Now what does that tell us from the
20 inventory? Well, coal mines have a way of utilizing
21 their equipment, they get good equipment that'll last
22 and they keep it. So, for future, when we put it in a
23 coal mine, they want to use it a long, long time. So
24 a good choice up front for a long, long time, it would
25 be a really good choice.

1 Same thing for light-duty diesel engines and
2 their designations, the difference being that
3 22 percent of all engines in light-duty DPM meet DPM
4 levels for the EPA Tier 4 engines based upon after-
5 treatments. Getting back to an earlier slide, not
6 many of the light-duty personnel carriers have an
7 after-treatment. Now they can meet our standards,
8 502, 72.502, and be utilized. How it relates to miner
9 exposure, it's a potential. Seventy-seven percent of
10 all engines in light-duty DPE meet the DPM levels for
11 EPA's Tiers 2 and 3.

12 For permissible diesel engines and EPA
13 engine standards, we see that 98 percent of all the
14 engines in permissible DPE meet the standards based
15 upon Tier 4 engines based upon their after-treatment.

16 And, again, you know, four of the permissibility and
17 being on this section, it's a requirement. So we see
18 a high percentage of those meeting those standards,
19 and for those that do not, we understand that it could
20 be some complication with the conveyance of that
21 information to the inventory and some other things.

22 The last slide we want to look at, it
23 relates to another presentation slide, is okay, now
24 understanding what is being used, what is needed I
25 expect in underground coal mines, is what size of a

1 motor do I need or an engine in order to do the work I
2 want it to do? And we see, for 97 percent of the
3 diesel-powered equipment being utilized underground,
4 they have an engine of 250 horsepower or less. So
5 it's the new engine technologies being introduced,
6 smaller engines and what not. It will be that the
7 industry can utilize those smaller engines at least in
8 the coal mines.

9 Now I think Monique, for our metal/non-metal
10 mines, they have a whole another category of equipment
11 and need than the coal mines do.

12 I know it was short, but that's the
13 information we find on our diesel coal mine diesel
14 inventory. I'll take questions now.

15 FEMALE VOICE: For those participating on
16 the phone, if you would like to ask a question, please
17 press star one and record your name. One moment,
18 please.

19 (Pause.)

20 FEMALE VOICE: We do have one question
21 coming to the phone. One moment.

22 MR. BUGARSKI: I have just one question.

23 FEMALE VOICE: Our question comes from Joe
24 Betar. Your line is open.

25 MR. BUGARSKI: Go ahead.

1 MR. BETAR: I just wanted to point out, I
2 guess this is both a question and a statement, but
3 three times you mentioned that personnel carriers
4 represent perhaps the largest potential for
5 environmental exposure to diesel particulate. And
6 your basis, it seemed, was simply due to the large --
7 them being the largest number of units in operation.

8 But I think what you probably need to
9 consider is, is that those units by their very nature
10 are also operated at the very lightest duty cycles in
11 the mine, as opposed to a piece of equipment that's
12 engaged in actively moving materials or rock or things
13 like that. And, in fact, several years ago, I studied
14 the fleet of personnel carriers at one of the largest
15 operators of these types of units in the west, and, on
16 average, those engines were operating at 12 percent of
17 their rated load.

18 So I guess I would just want to include the
19 fact that simply by nature of the sheer numbers of
20 units and the fact that these units are not equipped
21 with after-treatment doesn't necessarily mean that you
22 can conclude that they may be an opportunity to
23 greatly reduce diesel particulates because of the fact
24 that these units are operating at such light-duty
25 cycles.

1 MR. MEIKLE: I agree. And I would add to
2 that in many of the mines that I've gone to, you know,
3 the personnel carrier will take men and materials to
4 the section and then be shut off, and then they will
5 reverse that in the evening or the end of the shift.
6 So it's not only the duty cycle, but it also would
7 then have to consider, okay, the time of use. But it
8 even goes further than that. The potential could
9 include, okay, these others that are already meeting
10 Tier 4, though, are very, very low and how they are
11 bring utilized, the time frames and where and when and
12 all the other things. So duly noted, what you just
13 said. These are just numbers of equipment.

14 We had one here in the audience.

15 MR. BUGARSKI: Okay. I'm Aleksander
16 Bugarski. My question would be related with your
17 estimate that your Tier 0 engine, after 20 years
18 standing in the mine, just by applying their fee on it
19 would meet Tier 4 final standards. That's a little
20 bit of a stretch, because, I mean, end use emissions
21 from those engines are probably twice as bad as the
22 new engines. And they are rebuilt like three times
23 meanwhile, and nobody checks on the parts that are
24 rebuilt, for example. So basically it's kind of a
25 little bit of a stretch to say that they're equivalent

1 to Tier 4 final engines.

2 MR. MEIKLE: If I did equate them to Tier 4,
3 I didn't mean to. Now they're in our inventory as not
4 2, 3, or 4. Okay. Zero one, that's where we put them
5 just to say, okay, this is what we have in the
6 inventory. But as to what controls can be applied to
7 them, what controls are being applied to them, we only
8 have in the inventory what we have. And again, you
9 know, I think that my, I guess, way of thinking is, as
10 we pick equipment, looking at how old that equipment
11 is probably could be an indication of how long the
12 equipment being purchased now will be utilized.

13 As to, you know, its miners exposure source,
14 you can't look at the inventory and even estimate
15 that, other than we know the sheer numbers of those
16 that are in the inventory at any given point in time.

17 Yes, sir. Well, hold on for our people on the phone.

18 FEMALE VOICE: We show no further questions
19 at this time.

20 MR. SASEEN: George Saseen, MSHA. Just,
21 Greg, to expand a little bit further on I think what
22 you were saying and then to tie in what the gentleman
23 on the phone just said. Yeah. As far as the duty
24 cycle on those personnel carriers, a lot are pickup
25 trucks and they are used lightly, and also, you know,

1 mines have reported, the record showed years ago in
2 the original rule, mines reported a lot of use of
3 their light-duty equipment and a lot of mines reported
4 very little use on their equipment.

5 But remembering that the rule, the coal rule
6 slide was based off of technological feasibility. And
7 I think what you were trying to say, Greg, to enhance
8 that is any effort that we have as the technology has
9 advanced since, obviously, 2001, where we were talking
10 only about Tier 2 engines because 3 and 4 didn't
11 exist, but now they do. So any advancement on the
12 technological front of advancing that will help
13 exposures, like you were alluding to.

14 So, yeah, it may not be because, yeah, we
15 don't see a high duty cycle made with these machines.

16 Some of these trucks, pickup trucks have larger
17 engines in them, so it does not take a lot for them to
18 haul, you know, a man or a crew in and out because if
19 it's, you know, not a steep climb in or out of the
20 mine. But as far as technological feasibility, any
21 advancement will help, as you alluded to, help the
22 exposure, lowering exposure to the miners. Thank you.

23 MR. MEIKLE: Thanks, George. That's right.

24 (Applause.)

25 MR. ANGEL: And next up, we have Monique.

1 MS. SPRUILL: Good afternoon, everyone. I
2 work in the metal/non-metal division as the Chief of
3 Health. And today, we'll be discussing our DPM levels
4 that we actually have for exposure in our metal/non-
5 metal underground mines.

6 MR. ANGEL: Turned the sound down a little
7 too much.

8 MS. SPRUILL: Okay. Let's look at our
9 average concentrations. First of all, we'd like to
10 thank our stakeholders and our operators because
11 you've worked over time. And let's pay special
12 attention to our -- I'm going to have to stand over
13 here for a second, but I want to point out two
14 different graphs for you.

15 The top blue line, being total carbon, and
16 the bottom line that's red, is actually elemental
17 carbon. So let's look at 2008 when our final rule was
18 actually coming into being implemented for
19 160 micrograms per meter cubed metal for total carbon,
20 and that would be your top line there. We can
21 actually see that, from 2008 to 2016, there was
22 actually a 42 percent decrease in total carbon levels.

23 This is also consistent with our elemental carbon
24 levels that have been decreased. That was actually by
25 47 percent. So over time, if you actually look at it

1 as we keep having our average concentrations of DPM,
2 they keep declining over time.

3 Now this next slide which we'll do is these
4 were the number of samples that we actually collect
5 for DPM and this is actually in calendar year. And
6 your samples that are actually exceeding the PEL were
7 actually in your second column there, then their
8 percentage. So our percentages were ranging in
9 between 14 to 19 percent of our samples that are
10 actually exceeding the PEL. But we're collecting
11 around about 500 samples per year. And over this
12 five-year period, we collected approximately 2600
13 samples. So, with this that we know right now, at a
14 certain time period or anything else, with only
15 17 percent of those samples exceeding, so right now,
16 we're actually -- a lot of our samples, we can say
17 they're really compliant.

18 And so now let's go over our miner
19 occupations. So here we're going to concentrate on
20 the first five occupations. The number of samples
21 that actually have exceeded the PEL, there were
22 actually 438 samples that were actually collected.
23 Now, for your blasters, 31 percent of our samples
24 exceeded the PEL. Your front-end loader operator,
25 11 percent, your scalers, 9 percent, your truck

1 drivers, 7 percent, and your mucking machine
2 operators, these miners were actually 6 percent.

3 But what's actually different among these
4 operators? For blasters that are also known in other
5 parts of the country as powder gangers, they actually
6 have direct exposure. So, with this being direct
7 exposure, where are they working at? They're working
8 in the face. They're working in areas with poor
9 ventilation. They're working in areas where they're
10 not in those enclosed cabs which we're normally
11 seeing. Also, they work in areas where equipment is
12 running right next to their work location. A major
13 big thing? They're working at the dead and the de-
14 stress with more stagnant air.

15 Now let's go on to look at our front-end
16 loader operators. They're also working at the
17 production phase. They're spending time mucking and
18 they're actually spending time idling while they're
19 actually loading and while they're dumping. Another
20 thing, they're working down through the motor while
21 they're dumping. They work in open, also in enclosed
22 cabs. But we want to see why would they still be
23 actually number two of our number of samples that
24 exceeded this PEL. So they also work with these
25 machines called skid stairs and they actually are

1 completely open without a windshield. So that level
2 of protection that you would actually get in an
3 enclosed cab, we're not seeing those.

4 Also, let's go on to our third category, a
5 mechanical scaler. They're also working what? At the
6 face. They're working in both open and enclosed cabs.
7 They're working areas with poor ventilation, and they
8 also spend time idling with this equipment while
9 they're scaling.

10 Now we'll go on to our fourth category for
11 truck drivers, still being 7 percent of our
12 overexposures. They're primarily exposed to diesel
13 equipment, one. They spend time idling while they're
14 actually loading. They work downwind from the motor
15 and they're also passing other trucks. So our truck
16 drivers actually are exposed to other diesel exhaust
17 and other engines while they're actually passing other
18 trucks.

19 Now let's go on to our mucking machine
20 operators. They also, what's the commonality? They
21 work at the face. They actually have their engines
22 idling while they're actually dumping. They work
23 downwind from the motor and while they're tramming.
24 So, if you're going from point A to point B, you're
25 going to actually have your engine idling at point A

1 and also at point B.

2 Okay. Now we're going to go on to look at
3 commodities. So first we're going to look at, in
4 particularly, four different commodities: our crushed
5 and broken limestone, and also gold ore, zinc, and
6 also our lead zinc. Now 47 percent of our samples
7 actually exceed the PEL for crushed or broken
8 limestone, but they also make up 31 percent of our
9 underground mines. Also gold mines. We go here where
10 they actually make up 21 percent of our underground
11 mines, whereas our lead zinc and zinc mines, they
12 actually make up 3 percent of our underground mines.
13 So let's keep those commonalities in place in our
14 minds.

15 So, for crushed and broken limestone mines,
16 what have we noticed? They're large-scale underground
17 productions, these mines. Why do they have
18 ventilation challenges? We've noticed they have some
19 older equipment and with this poor ventilation, as
20 this mine size actually expands, we know that the main
21 fan is actually having problems getting air flow all
22 the way back to the production face. Also, a few of
23 our mines, yes, they still do have natural ventilation
24 that they're using. Natural ventilation, what is it
25 affected by? Seasonality. So, therefore, we know

1 there are temperature changes, we also know that there
2 are barometric pressure changes.

3 The next thing they're using is this room
4 and pillar extraction method. So you get these large
5 open excavated areas in which ventilation is
6 actually -- you'd have to overcome this challenge.
7 And also they're normally working on a year-round
8 basis. So, if they're working on a year-round basis,
9 our miners are constantly being exposed. And also we
10 know that some -- right after we looked at these
11 mines, we know that some maintenance procedures, that
12 they actually need to have in place, that we need to
13 actually increase looking at helping our operators
14 look at their maintenance schedules with these mines.

15 Next, we'll go on to gold mines. We've
16 actually noticed that they've had poor engine
17 maintenance and ventilation. A lot of our gold mines
18 are using some older engines. They're operating
19 diesel equipment with no filtration and with open
20 cabs. And they're actually having some direct
21 exposure. And actually, one of the processes that
22 they're using is the ore is extracted through
23 tunneling or shafts. So that's another ventilation
24 challenge. And also, we have to keep remembering
25 about altitude. So, with our engines, where are they

1 going to maximally, actually, where do we optimize our
2 engines for altitude? So that's normally at
3 3,000 feet per max altitude designation. So, when
4 you're doing particulate matter or maintenance
5 schedules, we also have to consider altitude for our
6 gold mines. It's another challenge that they actually
7 have to overcome.

8 Now let's look at also lead zinc ore mines.

9 Actually, more or less with these mines, the biggest
10 thing that we're looking at is the single entry drifts
11 that we actually have as a ventilation challenge. The
12 miners need to access ore core deposits commonly known
13 as chasing the ore, and this is along chasing across
14 your vein. So what are you doing? You're actually
15 creating tunnels and drifts along the vein. This is
16 the major cause of the ventilation challenge. And
17 there are also elevation changes that we see within
18 the same drift.

19 Now the lack of ventilation at the face,
20 we've also noticed that. So we say when you're
21 obtaining air, you're trying to bag off air off the
22 main ventilation using booster fans. Ventilation
23 tubing may not be adequately sweeping the face, and
24 that's another ventilation challenge that we've
25 noticed.

1 Now zinc mines also have this -- just like
2 lead zinc mines, they have the same type of mining
3 activities that go on. We're still chasing this vein.

4 However, our zinc mines were actually shut down for a
5 while. When our zinc mines reopened, we noticed that
6 they did have some newer equipment running at that
7 time. So, for fleets with this newer equipment, zinc
8 mines are actually overcoming a lot of their
9 challenges.

10 But what do we have to do? Our biggest
11 thing is have this multi-faceted approach, as we
12 mentioned earlier. We need to control DPM actually at
13 the source. And we're controlling our gases also and
14 also controlling other pollutants.

15 So we've noticed that scrubbers are using
16 our smaller metal/non-metal mines and they may produce
17 DPM concentrations up to 10 to 20 percent. Our
18 operators are also using filters. Paper filters may
19 reduce your DPM concentrations by 85 to 90 percent,
20 we've noticed. And then also, your sintered metal
21 filters may reduce your DPM concentrations by 50 to
22 90 percent. And our ceramic filters that they're
23 actually using may reduce your DPM concentrations by
24 85 to 95 percent. We've also noticed that generally
25 they're using diesel oxidation catalyst, which may

1 reduce your DPM concentrations by 20 percent.

2 Let's go on and see what other things that
3 they're doing successfully. They're using selective
4 catalytic reduction, which is actually reducing your
5 nitrogen by up to 90 percent. And another thing
6 they're using would be low emission engines. The
7 majority of our mines right now, we know from what
8 we've actually been speaking with our health
9 specialists that they're using Tier 3 engines or
10 actually higher. And actually, also, we've said this
11 earlier, there are environmental cabs on removable
12 equipment.

13 But one thing that we want to explore a
14 little bit deeper would be ventilation because they're
15 actually exploring our operators, looking at both
16 passive and active ventilation. So, with this, we've
17 noticed that when you're actually placing booster fans
18 that are actually out there and when they're placed at
19 the face, which is a really important change, we've
20 noticed that that's actually been for a lot of our
21 operators that are actually able to lower their DPM
22 levels. And they're making sure ventilation does not
23 pass through a working area too many times. So
24 they're directing this active ventilation. They've
25 replaced a lot of their rigid tubing. So the tubing

1 that they actually have now is actually installed
2 around the working area. So we're actually channeling
3 this fresh air to the operating face.

4 There has been a removal of ventilation bags
5 to a hard line smooth vent to reduce friction that's
6 lost over time. And another thing that they're doing
7 are ventilation studies with our single entry drifts
8 because this has been one of the things we actually
9 needed to look at.

10 What are they actually also doing? They're
11 installing curtains, brattices, tubings, stoppings,
12 and bulk heads. They're also adding fans or they're
13 actually increasing the number of fans that they
14 actually have. So this would be for main fans,
15 auxiliary fans, booster fans, and also exhaust pulling
16 fans. And also, they're filtering any type of re-
17 circulated air.

18 And, again, ventilation studies not just in
19 a single open -- single drifts, but we're actually
20 looking at others. And all of our mines now are
21 starting to look at ventilation studies. And they're
22 also looking at open mines. We actually have noticed
23 that they're installing some that might be more
24 permanent solutions where they're using steel duct
25 work.

1 They're also using ultra-low sulfur diesel
2 fuel and your cetaine improvers, what they're actually
3 doing is measuring that at 42 or greater and that's
4 our target. They're using oxygenated additives,
5 detergent, dispersant, surfactants, and for biodiesel,
6 we've seen in metal/non-metal mines that they're
7 actually using a blend up to 75 percent

8 But I'm not done yet. Let's go on to
9 compare some of our success stories. I want to tell
10 you about three different mines. We have a crushed
11 and broken limestone mine that was a multi-level mine.
12 Back in 2008, this mine had concentrations that were
13 over 230 parts per million. So we would look at for
14 DPM for micrograms per meter cubed, they were able to
15 actually lower their DPM concentrations and also their
16 exhaust concentrations. And we noticed their DPM
17 concentrations actually fell below 100.

18 How did they do this? They placed DPM
19 filters on older equipment. They replaced and rebuilt
20 their fuel pumps. They actually went out and they
21 actually refurbished their engines and actually really
22 did go about re-tooling them. They also purchased
23 newer equipment. They actually purchased fans and
24 tubings actually to ventilate those actual dead areas.

25 How did they actually go through? They

1 contracted actually a ventilation specialist and
2 actually mine engineers. And what did they do? They
3 went and they reviewed all their ventilation plans and
4 they made modifications to their ventilation systems.

5 Also, with this particular mine, they were doing four
6 directional mining there, and so they had to develop
7 some type of connection system. And in that
8 connection system, they actually used bidirectional
9 fans. And they actually repaired and established new
10 ventilation controls. They used stoppings and
11 curtains. This particular mine is also using low-
12 sulfur diesel fuel, biofuel, and they're actually
13 also -- they conducted ventilation surveys. So from
14 going from levels that were greater than 230 to
15 actually being below 100 after that, they actually did
16 actually place in a lot of work, and they worked with
17 us.

18 Another mine that was actually a crushed and
19 broken limestone mine, but instead of being multi-
20 level, it's a single level. And they actually had the
21 largest room of pillar mining method. They had
22 concentrations of DPM that were over 250. But after
23 2009, they had no DPM concentration actually exceed
24 111. And their average DPM concentration by that time
25 was actually at 41.

1 So what did they do? One of their steps,
2 they had actually purchased newer equipment. They
3 actually put in improved mine ventilation. They
4 tightened all their stoppings. They added auxiliary
5 fans behind the shot crew. They moved production
6 faces from the back of the mine closer to the portals.
7 They're using biodiesel fuel. They're also using the
8 ultra-low sulfur diesel fuel. They actually did have
9 rebuilt engines to improve engine performance, and
10 they're using diesel particulate filters. But this
11 one in particular, what they were doing is they're
12 actually changing them out and they're actually using
13 their filters for 500 hours. And they were finding
14 that, before that, they were actually leaving their
15 filters on.

16 Now let's go on to a lime mine. This is
17 another mine that's a multi-level mine. Back in 2009,
18 they had concentrations that were actually higher than
19 267. They were actually able to now after that point
20 go below 40, which they had a really nice degree. So
21 we wanted to find out exactly what everything that
22 they actually do.

23 So, for the curtains, they did a lot of
24 repair and maintenance work. And instead of actually
25 having stripped curtains, they actually installed

1 these full-size curtains. They also put fans into
2 their stoppings. They use biodiesel fuel. They also
3 use ultra-low sulfur diesel fuel. And they actually
4 ventilated their deadhead areas and all of their
5 stagnant areas for air.

6 One other remarkable thing that they were
7 actually able to do was use a real-time DPM analyzer.

8 And if you're able to use a real-time DPM analyzer,
9 they were actually able to go and say, how is our
10 equipment functioning on a day-to-day basis. They
11 were able to then monitor their ventilation and they
12 actually corresponded this with exposure monitoring.
13 So we did have three mines that we do have examples of
14 and several others that were actually able to lower
15 their DPM concentrations.

16 Does anyone have any questions?

17 (No response.)

18 FEMALE VOICE: If you have a question,
19 please press star one, record your name and you'll be
20 called on at your turn.

21 (Pause.)

22 FEMALE VOICE: So far, we have no questions.

23 MS. SPRUILL: Thanks.

24 (Applause.)

25 FEMALE VOICE: We still have no one queuing

1 up.

2 MR. ANGEL: Okay. I think that does it for
3 all the presentations today. Next up, I'll introduce
4 Dr. RJ Matetic.

5 DR. MATETIC: Okay. I think I know most of
6 you in the room. If you don't know who I am, I'm RJ
7 Matetic. I serve as the Director for the Pittsburgh
8 Mining Research Division in Bruceton. I've got good
9 news and bad news for you today. The good news is I'm
10 last. The bad news is you're going to have to discuss
11 some things before you walk out that door.

12 You know, one of the things you heard today
13 was, you know, partnerships are great, but
14 partnerships only are productive if people in the
15 partnership provide input and guidance toward where
16 things need to go next. And that's kind of what we're
17 going to talk about a little bit for a couple minutes
18 and then we'll break.

19 I think Dr. Kogel mentioned there are
20 several partnerships, you know, that are happening
21 within NIOSH currently. These partnerships only are
22 productive because of the people that are involved in
23 the partnership and that are actually providing input
24 and guidance toward moving forward with a solution.

25 Ms. Silvey spoke about the first partnership

1 meeting for the diesel health effects was in, I think
2 it was December 8 of last year at the Meadowlands.
3 One of the couple things that we discussed there if
4 you weren't there was the charter for the partnership.

5 And if any partners or members of the partnership had
6 any input to that charter, we can consider it there at
7 the meeting or they can provide responses later on to
8 add to the charter.

9 One of the other things that we discussed
10 there was, obviously, how do we want to move forward?
11 You know, you heard today from a lot of people. You
12 received a lot of information regarding comments from
13 the RFI, best practices to reduce DPM. You've heard
14 from NIOSH regarding previous work that was done,
15 current work that's actually going on, and future work
16 that we're expected to do. You've heard from Monique
17 regarding a metal/non-metal update, from Greg
18 regarding diesel inventory related to coal and so on
19 and so on.

20 So now we're at this crossroads of, you
21 know, this partnership and the members of, where
22 should we go next? You know, and I know that's a
23 tough question, but there are people in this room that
24 need to think about, like what are the things that
25 keep you up at night that need to be addressed? What

1 are the topics that this partnership needs to move
2 forward with for it to be successful and for the
3 ultimate outcome to be the health and safety of the
4 mine workers?

5 I think we all have a similar goal and
6 that's that, meaning we're all looking at the health
7 and safety of the miners. We have different roles in
8 that on how that actually happens. But, ultimately,
9 that's why we're here. So, with that and the
10 significance of input, I'm begging you to open up and
11 provide some input into the partnership on some
12 topics, things that you're thinking about, and on the
13 phone as well, that we need to like think about moving
14 forward. So I'll start within the room and then we'll
15 go to the phone. How about in the room? What can
16 people share in the room? Thoughts? Comments? Where
17 do we go from here kind of? Remember, you can't leave
18 until you provide some sort of comment, and I'll stand
19 at the door and won't let you out. So what is it that
20 you're thinking about that maybe wasn't addressed
21 today that the partnership truly needs to think about?
22 Alex?

23 DR. BUGARSKI: Well, I would actually
24 suggest, we have heard from NIOSH, we have heard from
25 MSHA about the problems, and, you know, I would like

1 to hear from industry, you know, because I always
2 believed in the past when we achieved some success
3 that input from industry was most important one,
4 because industry is the one which is facing the
5 problems and they can point us in direction of the
6 real necessity to do some issue.

7 For example, we have heard from Monique this
8 high altitude issue and we dealt with this. You know,
9 within MSHA and NIOSH, we dealt with this like
10 10 years back. But then it falls off the cliff and
11 it's nowhere. So, basically, and you know I visited
12 some metal/non-metal mines on high altitude last
13 year -- this year, actually, and they all tell me how
14 we have no clue, you know, how high altitude affects
15 our engines.

16 So some of the issues, you know, like this
17 emerge occasionally and I think it's the best if it
18 can hear for the issues and the problems directly from
19 industry and then we try to address things. And we
20 will get partners. That way we'll be on the right,
21 you know, page with them.

22 DR. MATETIC: Any additional thoughts in the
23 room on that? I mean, I think it's a great
24 suggestion. Other partnerships, we provide
25 opportunities for operators to come up and provide

1 best practices, things that work for them that maybe
2 we haven't thought about as a research organization or
3 MSHA, that they bring things to the table that truly
4 advance the science, which we didn't even really know
5 about. Yes?

6 MR. MONINGER: Can you ask them on the phone
7 if they happened to hear Alex's remark? Because I
8 wasn't sure.

9 DR. MATETIC: Okay. People on the phone,
10 were you able to hear Alex's comments?

11 MR. ELLIS: Yes, RJ.

12 DR. MATETIC: Ah, Mark.

13 MR. ELLIS: Hi. This is Mark Ellis.

14 DR. MATETIC: Hi, Mark.

15 MR. ELLIS: I'm in the virtual room.

16 DR. MATETIC: Okay. All right.

17 MR. ELLIS: And I don't know whether anybody
18 can see me, but --

19 DR. MATETIC: We can hear you, though. But
20 we don't see you.

21 MR. ELLIS: All right. I'll sit down, how
22 about that?

23 DR. MATETIC: Okay.

24 MR. ELLIS: Okay. I'm Mark Ellis. I'm with
25 the Industrial Minerals Association, North America,

1 and I want to thank you for a productive meeting. I
2 compliment the speakers and the topics that they
3 covered. I think they helped set the stage for this
4 discussion now and the discussion going forward.

5 At the outset, I think I'm going to offer a
6 challenge to the premise that typically dictates that
7 partnerships end up in a regulatory outcome. Roz
8 Fontaine mentioned two executive orders that had been
9 issued by the President. The partnership was started
10 under one administration, but it's progressing under
11 another administration that's substantially different
12 in its outlook. And so part of what I would like to
13 suggest for the partnership is that regulations should
14 not be the end game.

15 We all bring something different to the
16 table. Jessica mentioned the silos that we're in and
17 we tend to operate in silos. But when it comes to the
18 issue that we're here to address, which is diesel
19 exhaust health effects, everybody has a common
20 interest in that, although they come at it from a
21 slightly different direction, and I think that that's
22 healthy. We need to try to make sure that we bring
23 different perspectives to the issue, but we should
24 focus in not on regulatory responses but really on
25 improving miner health.

1 I happen to be a big fan of getting the
2 biggest bang for the lowest buck, and I think that
3 that could fit in with this partnership if we look at
4 things like results-oriented prioritization. What
5 equipment is out there producing the greatest
6 contribution to diesel exhaust emissions? What
7 occupations have the highest exposure?

8 Try to target where our problems are, the
9 biggest problems, and try to find solutions for those
10 problems. I happen to think that the idea of looking
11 at best practices, what has worked in the past for
12 some people to see whether they can work for other
13 situations is a good way to go. I think that one of
14 the challenges that we face is that there's a lot of
15 subject matter here and it's difficult to deal with it
16 in a general context.

17 So I guess the final point I would leave you
18 with is that we could take any of the subjects that
19 were brought here today and I think that we should
20 dive into them in more detail in separate sessions.
21 And what I would suggest would be a good one to work
22 with would be to take a look at what Link Bowers and
23 Monique Spruill brought to the table today. I think
24 it lends itself to looking and best practices, what
25 worked for people in the past, what could work for

1 people in the future. And I think if we could just
2 get that far with the next meeting that would be a
3 significant achievement.

4 DR. MATETIC: Well, thank you, Mark. How
5 about thoughts on what Mark mentioned from the phone,
6 in the room here or anyone else on the phone?

7 FEMALE VOICE: Sir, would you like all the
8 lines opened on the phone for this part?

9 DR. MATETIC: That would be great.

10 FEMALE VOICE:: Okay. One moment.

11 (Pause.)

12 DR. MATETIC: I'm not sure how this all
13 works, but I'm just winging it as I'm going.

14 FEMALE VOICE: All lines are open, so you do
15 not have to press star one if you would like to make a
16 comment.

17 DR. MATETIC: How about comments in the room
18 regarding Mark's comments? I mean, I think, does it
19 make sense 'til we kind of -- Larry?

20 MR. PATTS: RJ, I believe that --

21 DR. MATETIC: You're going to have to --
22 Larry, try to speak in I guess a microphone.

23 MR. PATTS: Okay.

24 DR. MATETIC: So they can hear you.

25 MR. PATTS: Okay. Fine. I really believe

1 that what Mark said and what the doctor said hold a
2 lot of value. I think we need to see success stories
3 and transfer those to people. But I think we also
4 need to find out what doesn't work for the industry.
5 I think we can learn sometimes just as much from what
6 doesn't work to move in a direction to find things
7 that will work.

8 DR. MATETIC: Okay. How about comments on
9 the phone?

10 FEMALE VOICE: The lines are still open.

11 MR. BETAR: This is Joe Betar. I represent
12 Chrysler Corporation in addition to my own enterprise
13 as far as the mantrips that are produced by Chrysler
14 under the Ram and Jeep brand. And I guess you asked
15 what's keeping me up at night, and it relates to what
16 the gentleman said about moving towards regulatory
17 solutions here. From a manufacturer standpoint, the
18 uncertainty as to the direction of where we're going
19 to go with future engines and requirements is creating
20 an enormous burden for us because we don't know what
21 engines to approve or to seek approval for.

22 And since the time frames are so long for
23 vehicles in terms of from, you know, beginning
24 conceptualization to actual production, we could run
25 into a situation where we actually approve engines

1 that either go out of production shortly thereafter or
2 do not meet what could be potential regulations. And
3 so the costs and the keeping awake at night factor are
4 enormous when talk of, you know, reconsidering DPM
5 regulations begin to float around because I'm at that
6 point right now where we're getting ready to, you
7 know, redesign engines, and there's a huge amount of
8 uncertainty as to what we should be doing. And that's
9 again staying away from a regulatory solution would be
10 immensely helpful, because, ultimately, it reduces
11 miners' choices for what types of vehicles they will
12 have available to them to use.

13 DR. MATETIC: Well, thank you, Joe, for your
14 comments. Thoughts on what Joe just presented?

15 MS. STIRLING: Yes, this is Evelyn Stirling,
16 Cummins. I just want to echo what Joe is saying
17 because we're getting into some next generation work
18 which ultimately will reduce emissions. It may not
19 meet the Tier 4 requirements. So do we go ahead and
20 invest in getting certification, vent certification
21 through MSHA on these engines or not? You know, so if
22 we have a regulatory body that says you have to meet
23 Tier 4 emissions on any future engines, then that
24 really will put a heavy burden on us as engine
25 manufacturers as well.

1 DR. MATETIC: Thank you, Evelyn. Thoughts
2 here? On the phone? More thoughts? Alex?

3 DR. BUGARSKI: RJ, one more thought. I
4 think what I'm hearing here, we have number of the
5 problems. And related/unrelated they are in the
6 envelope of diesel issues, you know. Certification
7 issue, you know, personal exposure, you know, and this
8 kind of stuff. So, basically, I think that the most
9 effective way would be not to work as a whole group.
10 We'll have to find some kind of subcommittees which
11 are going to address these issues and work on it,
12 because in smaller groups with pre-defined tasks, I
13 think we have chance of success. If we hang like this
14 and, you know, expect from somebody now to step in and
15 say, oh, we'll come up with this solution right now,
16 you know, there's no answers, you know. So,
17 basically, if you don't specify very well problems and
18 maybe vote on the priority of those and start
19 addressing the most precious one, then we are not
20 going to make enough progress.

21 DR. MATETIC: You know, Alex, that's a good
22 comment because at the first partnership meeting in
23 December of last year, I believe it was Mark Ellis
24 that mentioned about working groups in the
25 partnership, for example, looking at health effects,

1 looking at new and existing technologies, looking at
2 improved technologies, looking manufacturers providing
3 input to the group as well. So that's definitely
4 something that I think maybe we can consider moving
5 forward as well. Looking at working groups, it truly
6 makes sense based upon what we're actually trying to
7 do relative to this partnership. So it's a good
8 comment.

9 MR. GREEN: RJ, this is Ed Green. Can you
10 hear me?

11 DR. MATETIC: Yes, Ed. How are you?

12 MR. GREEN: I'm fine. I'm not going to get
13 up where you can see me because it would be
14 embarrassing.

15 (Laughter.)

16 DR. MATETIC: Okay.

17 MR. GREEN: Number one, I think this was an
18 extraordinarily useful and important day, a milestone
19 along the way for the partnership. So much was
20 presented that, frankly, my old head is getting ready
21 to explode. And one thing that I am worried about is
22 that all of the presentations that were made today
23 will be ephemeral. They'll disappear unless somehow
24 they're put together. I know we're going to have a
25 transcript, that's good.

1 But consistent with the important comment
2 that Mark made on December 8th about working groups.
3 Perhaps a next step along the way can be to put
4 together a document, maybe a memorandum for the
5 partnership that describes what happened today and
6 sets out some next steps in terms of what else can be
7 done in terms of research goals along the lines of
8 Alex's presentation and the kinds of best practices
9 that were described by our MSHA colleagues.

10 One thing that troubled me a lot was that,
11 in spite of the fact that Monique's presentation shows
12 that exposures have gone down in terms of what comes
13 out of the tailpipes; there are still a fair amount of
14 excursions above the PEL. What's that all about? I
15 think that's worthwhile exploring.

16 So there are some, at least some initial
17 thoughts, and I'm pleased with this next -- this
18 second meeting, and I think we need to really focus
19 now on what the third meeting should be and use this
20 meeting as sort of a way to describe what has happened
21 here. And my view is that a memorandum from NIOSH and
22 MSHA to the other partners would be a very, very
23 useful milestone along the way.

24 DR. MATETIC: Okay. Thank you, Ed.

25 Additional comments from Ed's comments?

1 Thoughts? Sheila?

2 MS. McCONNELL: I have some.

3 DR. MATETIC: Okay. You might -- I don't
4 know what you got to -- I don't know what you have to
5 use, Sheila, so people can hear you.

6 MS. McCONNELL: This is Sheila McConnell,
7 Director of Standards. Ed, I thank you for your
8 comments and I agree that it would helpful if we did,
9 you know, following Mark and Alex's and some of the
10 other comments, it would be helpful if we did break
11 this down into finer points. So the question is, and
12 this is a struggle I've been having, is what would
13 those finer points be? I think we have this general
14 conception that we need to do that, but what does that
15 mean? Does that mean do we take a look at particular
16 best practices in general? Biofuel, ventilation.

17 Do we look at types of engines that are
18 within different sectors of the economy -- I mean the
19 mining industry? Coal versus metal? So it would good
20 to hear some more specifics on what -- and hearing
21 from not only our operators but even the engine
22 manufacturers that are listening in today. What are
23 some specifics in terms of helping NIOSH and MSHA make
24 those next steps?

25 And I guess the next question I have is, Ed,

1 and we can talk about this -- you can think about this
2 and follow up on this. In your recommendation for a
3 memorandum, I'm not quite sure what would be the
4 differentiation between a memorandum with the
5 partnership and a charter. So I would need more to
6 know about what that would look like. And maybe, you
7 know, my colleagues at NIOSH have a better idea and,
8 you know, a sense that, you know, of what that would
9 look like or that I'm just not aware of.

10 So, in general, I agree with everyone with
11 everything that they're saying that it would be good
12 to have separate, more precise tracks on different
13 topics, but I would appreciate a little bit more
14 guidance on what they would be.

15 MR. GREEN: Let me pop back in for a minute.

16 I'm not suggesting that. The document I'm talking
17 about would be different than the charter. I think
18 the charter is fine as far as the goals. It's a good
19 post along the way too. But I think today, unless we
20 get down on paper what the hell happened today, we'll
21 lose it.

22 And I think a task that MSHA and NIOSH can
23 do is that, once you've got the transcript along with
24 the PowerPoints that, you know, presenters used today,
25 I think putting all that stuff together into a

1 memorandum that they're not minutes, but it's
2 basically a description of the things that were talked
3 about today. And I think that will focus as sort of a
4 good reminder to everybody about what we're talking
5 about and can serve as a document from which we can
6 then develop working groups and that sort of stuff,
7 because we do need working groups. You know, the
8 differences between the coal legal regime and the
9 metal/non-metal legal regime is absolutely critical.

10 And I hear loud and clear the comments from
11 the Chrysler person and the, I think it was a Cummins
12 person, about their frustration dealing with what MSHA
13 requires and what EPA requires. You know, we can't
14 fix that, but we need to at least identify it and see
15 if there's anything that we can do to assist that. So
16 I'm not talking about a modification, Sheila, to the
17 charter. I'm talking about basically a memorandum
18 that sets out what we discussed today and then maybe
19 sets out some next steps, if you will.

20 MR. ELLIS: And, RJ, it's Mark again. You
21 know, I think that Ed's suggestion is a good one
22 because I think you need a vehicle now to get feedback
23 from other people and there needs to be a way to
24 summarize what happened today and then say, either
25 recommend as sort of a **stalking horse**, you know, what

1 MSHA and NIOSH feel would be working groups that might
2 be established, but ask the stakeholders for their
3 input on that as well. You know, what should be the
4 topics that the different working groups might address
5 at the outset that would potentially serve as an
6 agenda for each of those working groups to focus on
7 those ideas.

8 MR. GREEN: Yeah. Ed Green again. Let me
9 be very frank. I believe the objective of this
10 partnership should be to see how we can proceed
11 without developing regulations. We have a regulatory
12 regime, and maybe it needs some tweaking, and I think
13 what we ought to be doing in this partnership is to
14 try to accomplish everything possible short of
15 regulations, and that means that we have to also be
16 responsive to MSHA's RFI.

17 I'm mindful of Roz's recitation of the
18 comments received in response to the questions that
19 MSHA raised and her comments about the two executive
20 orders. We need to have something that MSHA as the
21 regulatory agency can point to that says, well, here's
22 the answer to our Request for Information. I think
23 the deadline is, what? January 28 or something like
24 that? And also something that NIOSH can point to as
25 sort of a document that NIOSH can use to help it carry

1 out its research chores.

2 DR. MATETIC: Thank you, Ed. I think Sheila
3 has a comment.

4 MS. McCONNELL: Ed, this is Sheila again.
5 And I hear you and I want to, I guess I want to make
6 sure that everyone understands that today's
7 presentations were geared to looking at best practices
8 within the current regulatory framework and, within
9 that current regulatory framework, how can we improve
10 miners' health. And I just want to enunciate that
11 because there seems to be a lot of concerns vocalized
12 by -- I mean, and true, a Request for Information is
13 like a preliminary step at what agencies typically
14 take in going down that path. But does that
15 necessarily mean that's the case all the time? And so
16 we should look at the RFI as a vehicle by which the
17 stakeholders can submit information, data, cross-data,
18 best practices that would allow us to help miners'
19 health. Does that make sense?

20 MR. GREEN: Absolutely. Ed Green.
21 Absolutely. I think that's what this is all about,
22 Sheila.

23 MS. McCONNELL: Okay. But there seems to be
24 a general concern and uncertainty, and I was thinking
25 that today's presentation was geared to such that it

1 looks like we are looking within the framework that we
2 currently have and how can we protect miners' health,
3 and there's room for improvement even within this
4 current regulatory framework.

5 MR. GREEN: No disagreement there either.
6 But I think the key is to try to identify and get our
7 arms around what is going on, and we've taken a pretty
8 significant first step to see that.

9 MS. McCONNELL: And I think we're both on
10 the same page, Ed, I really do. I think you and I are
11 just, I don't think we're talking past one another.

12 MR. GREEN: I don't either, Sheila. It's a
13 question of I think it would be -- I'll be happy to
14 help this out, by the way, but I think it would be
15 very useful for NIOSH and MSHA to put your collective
16 heads together and, again, put pen to paper and come
17 up with a roadmap, if you will, for going forward.
18 That's what I mean by a memorandum.

19 MS. McCONNELL: Okay. And I don't disagree
20 with you and I can't speak for NIOSH, but MSHA's
21 willing to do that. But I just wanted to mention it.

22 DR. BUGARSKI: One more comment. You know,
23 I mean, with all these discussions we have today --

24 DR. MATETIC: Can you hear Alex -- hold,
25 Alex. Can you hear Alex, Ed and Mark?

1 MR. GREEN: Yes.

2 DR. BUGARSKI: With all discussions we had
3 today and with analysis of data we did at NIOSH and at
4 MSHA, it transpires to me that there is two sides of
5 the industry, you know. And in the past, we had
6 diesel, you know, partnerships with both, with coal
7 side and with metal/non-metal. And I'm finding that,
8 basically, we have hard time to reach part of the
9 industry which has, actually, problems because there's
10 small operations, stone mines, underground sand and
11 gravel operations, and those are not -- I don't know,
12 I'm trying to understand are they represented in this
13 partnership at all. Who is reaching them and how we
14 are going to hear from them? How we are going to
15 learn about their problems? Because I have very good
16 experience working with Newmont, Stillwater, and, you
17 know, big companies, you know. But what might help,
18 you know, with the DPM regulations with overexposures
19 which are currently occurring is that we are not
20 actually reaching all parts of the underground mining
21 industry.

22 And, you know, I'm so desperate to find
23 access to that part and how to help them because, you
24 know, deeper analysis of exposure data will show you
25 basically that most of the larger companies have their

1 ducks in a row. But a lot of overexposure is actually
2 occurring in small operations, you know, and with no
3 structure to the, you know, new industrial hygienists,
4 mechanics and this kind of stuff. So we need also to
5 focus on that part of industry because, if we want to
6 eliminate overexposures, I think we should focus on
7 that part of the industry.

8 MR. GREEN: Alex, Ed Green here. I couldn't
9 agree more with you and I think it seems to me that
10 part of the document that I'm talking about should be
11 to identify that problem and try to sort out how
12 NIOSH, MSHA, and the private sector partners can help
13 figure that out. We're not going to get an answer
14 today, but I understand what your problem is.

15 DR. BUGARSKI: Yep.

16 DR. MATETIC: I think what everyone is
17 saying here is once we're identifying the tracks that
18 we all believe we need to move towards, then we need
19 to get the right people in the partnership if they
20 don't exist currently to make that happen. Is that
21 what I'm hearing?

22 MS. McCONNELL: And that's a challenge in
23 itself, getting the right people in the room.

24 DR. MATETIC: Right. And that is a
25 challenge.

1 MS. McCONNELL: Right.

2 DR. MATETIC: Yes. He needs a microphone.

3 MR. NARDO: I don't need that. I think you
4 can hear me.

5 DR. MATETIC: Okay. Very well.

6 MR. NARDO: My name is Dave Nardo. I'm
7 going to represent the mining side of this. Since I
8 wasn't at the first one -- have been equipped, not
9 only metal and non-metal -- have you all established
10 a --

11 DR. MATETIC: Dave, that was your name? I
12 could hardly -- I got hearing loss too, so I could
13 hardly hear you, but I'm assuming you were asking what
14 lines of communication has been developed --

15 MR. NARDO: Right.

16 DR. MATETIC: -- to kind of like push this
17 information out to -- and it's really the websites,
18 correct me if I'm wrong --

19 MS. McCONNELL: Right.

20 DR. MATETIC: -- and, you know, who -- you
21 have a distribution list.

22 MS. McCONNELL: Right. Yes, we did it by
23 multiple avenues. We did it through our website,
24 through our ListServ of people who have registered for
25 out website. Plus, we had a particular email list of

1 industry people who are interested that we could send
2 the communications out. So we tried all the means by
3 which we usually communicate. We didn't do anything
4 differently than we do when we want to reach out to
5 the community and for other reasons. So we used the
6 same vehicles that seemed to be successful in the
7 past. Okay?

8 DR. MATETIC: By the way, you guys are doing
9 very well. That door might open here soon.

10 (Laughter.)

11 DR. MATETIC: How about any other additional
12 comments? Suggestions? Thoughts? Jessica?

13 DR. KOHEL: So this is Jessica Kogel from
14 NIOSH. I'm not going to make any additional
15 suggestions. I just wanted to say that, you know,
16 following up with what Sheila said, you know, I hear
17 loud and clear, I think both Ed and others, Mark as
18 well, as well as actually everybody who's made
19 comments here today, it's pretty clear what the next
20 steps need to be. I think Sheila did a good job of
21 articulating our challenges, NIOSH and MSHA, as far as
22 taking that first stab at developing kind of what are
23 the topic areas for these working groups. And I think
24 I hear that we're all in agreement that that's how we
25 need to go.

1 We need to develop this document that is
2 going to come out of this meeting. And so I think the
3 next steps need to be NIOSH and MSHA to get together,
4 go through that process. But I think we are going
5 to -- because Sheila spent a lot of time already
6 struggling with this question, and so I think what we
7 can commit to do is to come back to this group, and
8 not just those here in the room but everybody who's
9 collectively involved in this, and we might come up
10 with a list that we'll throw out there of areas where
11 we'll ask you to please come back to us and give us
12 your comments on that or, in the meantime, because
13 it's going to take us some time to get to that point,
14 if you have any thoughts about logical ways that we
15 can organize this to advance this partnership and what
16 we're trying to do here, we would really, really
17 appreciate it because I think we're going to end up
18 spending, you know, a lot this time and thought about
19 what that should be and we may not come up with the
20 best answer. So please don't hesitate, if you don't
21 have any comments today on it, come back and, Sheila,
22 if people have thoughts and they want to come back to
23 us --

24 MS. McCONNELL: Yes. They can --

25 DR. KOSEL: -- in the future, what's the

1 best way for that to happen?

2 MS. McCONNELL: The best way would be -- I
3 think what we can do is they've already -- the best
4 way is I think we'll just put a comment link to where
5 they could send specific things on our website, a
6 specific link to a mailbox. But in the meanwhile,
7 they have access to my email address, and Roslyn
8 Fontaine has also been emailing the community. So
9 either way would be right now as an intermediate step
10 to email either one of us. But then I think for
11 moving forward, just of having a link to send
12 comments, information out, you know, outside of this.
13 So it's ongoing because the RFI will close and we'll
14 need to move forward just to have a separate one. And
15 that's what we'll do when we get back.

16 DR. MATETIC: And I'm assuming Mark and Ed
17 and all on the phone heard all that, right?

18 MR. ELLIS: Yeah. I think we're good here.

19 DR. MATETIC: Okay. Joe has a -- Joe
20 Sbaffani.

21 MR. SBAFFONI: Joe Sbaffani. Just an
22 observation. It sure seems like a lot of the
23 improvements that have taken place have been a result
24 of cleaner engines. And I think it's imperative that
25 you have the equipment manufacturers asking for

1 direction. They need to get that direction because
2 that's one of the biggest issues that we've faced
3 throughout our history. We don't explain to people
4 where we want them to get to.

5 And I think we have the expertise in MSHA
6 and NIOSH, but they need to get out of that mode of
7 not knowing where they want to go. You know, it sure
8 seemed to me like they were asking for some direction
9 on where to go with the next design of cleaner
10 engines. I think that's very important because it
11 sure seems like all the improvement we've seen to this
12 point is a result of cleaner engines.

13 MS. STIRLING: And can I respond to that
14 question or comment?

15 DR. MATETIC: Sure.

16 MS. STIRLING: Again, this is Evelyn
17 Stirling from Cummins, Inc. We know where we're going
18 in terms of cleaner engines. We're always working to
19 do that. We have the Tier 4 final. We're going into
20 stage five in Europe, which will also be Tier 4, which
21 is hopefully making a more simpler engine. It allows
22 us to take some of the after-treatment off. It allows
23 us to take the EGR system off and still meet Tier 4.
24 So, you know, from a manufacturing standpoint, we're
25 always working to improve the emissions of the engine.

1 But the frustration is understanding if, you
2 know, MSHA are going to regulate to do that or not,
3 because, currently, I'm also working to get a lot of
4 the older product over Tier 3, not anything less than
5 that, but Tier 3 and some Tier 4i and some engines
6 which are basically Tier 4 but without the after-
7 treatment approved in the system so they can be used
8 to clean up older engines in there. So, yes, some of
9 the improvements over time has made because of our
10 emissions engines but also because miners have taken
11 out some of the Tier 0, Tier 1 and maybe Tier 2 and
12 put in Tier 3, which are repairable.

13 I mean, I heard a lot in the discussion
14 about people saying, you know, with the integrated
15 engines, it is very difficult to repair current
16 equipment. But some of the Tier 3s, et cetera, can be
17 used to repair Tier 0, Tier 1, Tier 2 engines. So I
18 think some of the benefits out there and some of the
19 reductions we see aren't necessarily being from using
20 Tier 4 interim and Tier 4. It's just been using later
21 emissions and more electronic emissions.

22 I mean, the cancer effects and what have you
23 were made using some of the mechanical style engines,
24 you know, so we're improving emissions all the time
25 and we know the direction we're going to, but when it

1 comes to working to see what we need get certified for
2 the underground mining market, you know, just tell me.

3 I mean, I'll do all the certification for stage five
4 when they become available or whatever.

5 I just don't want to invest in -- you know,
6 I'm being asked all the time from OEMs or mines saying
7 we would really like this Tier 3 product certified
8 because now we want to use it. You know, so I'm
9 investing in doing that work through MSHA, and, I
10 mean, if that's not where people are going, then I
11 don't want to do that investment. That's where my
12 frustration is. I mean, it's not that I don't know
13 where to develop the engines. We're doing that, and
14 we're trying better and better to improve the
15 emissions even beyond what EPA regulates.

16 DR. MATETIC: Thank you, Evelyn, for your
17 comment.

18 Any other like operators, industry in the
19 room that want to make a comment? Any other
20 additional comments, either on the phone or in the
21 room? If not, I'll allow you all to leave.

22 (No response.)

23 DR. MATETIC: Well, first of all, on behalf
24 of NIOSH and a partner, a chair of the partnership,
25 you know, I want to thank all of the speakers today.

1 I've been through a lot of partnerships and
2 there is a lot of time put in to provide information
3 to a group of people in industry, labor, government,
4 what have you, that kind of advances the state of
5 affairs. So I know the time that you put in to make
6 that happen. And on behalf of the partnership, NIOSH
7 and MSHA, I truly do appreciate that.

8 I want to thank your participation in this
9 last session. I'll be honest with you, sitting back
10 there, I was a little concerned. When I got up here,
11 and I guess maybe my threatening behavior helped, but
12 I'm very pleased that we actually went through this
13 process and we have our to do's, and we will make sure
14 we share them with the partners and the people that we
15 have information for.

16 All of you on the phone, I want to thank you
17 for your comments as well. I want to thank MSHA for
18 hosting today here in Triadelphia. That's another
19 thing that I understand how much time it takes to make
20 sure that you got everything you need for people to
21 come visit, listen and see information. So I
22 appreciate that as well.

23 So now, unless anybody else has any other
24 comments, Sheila? Jessica? You're free to go. So
25 thank you for all your attention.

1 (Applause.)

2 (Whereupon, at 5:30 p.m., the meeting in the
3 above-entitled matter adjourned.)

4 //

5 //

6 //

7 //

8 //

9 //

10 //

11 //

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

22 //

23 //

24 //

25 //

REPORTER'S CERTIFICATE

DOCKET NO.: N/A


CASE TITLE: Diesel Exhaust Health Effects
Partnership Meeting

DATE: September 19, 2017

LOCATION: Triadelphia, West Virginia

I hereby certify that the proceeding and evidence are contained fully and accurately on the tapes and notes reported by me at the hearing in the above case before the U.S. Department of Labor, Mine Safety & Health Administration.

Date: September 19, 2017



David Jones
Official Reporter
Heritage Reporting Corporation
Suite 206
1220 L Street, N.W.
Washington, D.C. 20005-4018